

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
AGENCY FOR TOXIC SUBSTANCES AND DISEASE REGISTRY

convenes the

THIRTIETH MEETING

CAMP LEJEUNE COMMUNITY ASSISTANCE

PANEL (CAP) MEETING

January 15, 2015

The verbatim transcript of the
Meeting of the Camp Lejeune Community Assistance
Panel held at the ATSDR, Chamblee Building 106,
Conference Room B, Atlanta, Georgia, on
January 15, 2015, 9:00 a.m.

STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING

404/733-6070

C O N T E N T S

January 15, 2015

WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS DR. PATRICK BREYSSE, MATT BRUBAKER	5
ACTION ITEMS FROM PREVIOUS CAP MEETING DR. ANGELA RAGIN	9
UPDATE ON SOIL VAPOR INTRUSION AND DRINKING WATER EXPOSURE EVALUATIONS RICK GILLIG, CHRIS FLETCHER	51
UPDATES ON HEALTH STUDIES PRESENTATION ON ADVERSE PREGNANCY OUTCOME STUDY MALE BREAST CANCER STUDY, CANCER INCIDENCE STUDY, HEALTH SURVEY PERRI RUCKART	69
VETERANS AFFAIRS UPDATES BRAD FLOHR	92
CAP UPDATES AND CONCERNS CAP MEMBERS	127
CAMP LEJEUNE CAP CHARTER REVIEW SASCHA CHANEY	138
SUMMARY OF ACTION ITEMS TIFFANY FELL	139
WRAP-UP/ADJOURN MATT BRUBAKER, SHEILA STEVENS	149
COURT REPORTER'S CERTIFICATE	160

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (ph) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- "^" represents unintelligible or unintelligible speech or speaker failure, usually failure to use a microphone or multiple speakers speaking simultaneously; also telephonic failure.

P A R T I C I P A N T S

(alphabetically)

BOVE, FRANK, ATSDR
BRUBAKER, MATT, FMG LEADING
BREYSSE, PATRICK, NCEH/ATSDR
CANTOR, KEN, CAP TECHNICAL ADVISOR
ENSMINGER, JERRY, COMMUNITY MEMBER
FRESHWATER, LORI, CAP MEMBER
FLETCHER, CHRIS, ATSDR
FLOHR, BRAD, VA
GILLIG, RICHARD, ATSDR
HODORE, BERNARD, NEW CAP MEMBER
IKEDA, ROBIN, ATSDR
ORRIS, CHRISTOPHER, CAP MEMBER
PARTAIN, MIKE, COMMUNITY MEMBER
RAGIN, ANGELA, ATSDR
RUCKART, PERRI, ATSDR
SMITH, GAVIN, CAP MEMBER
STEPHENS, JIMMY, ATSDR
STEVENS, SHEILA, ATSDR
TEMPLETON, TIM, CAP MEMBER
WILKINS, KEVIN, CAP MEMBER

1 I'm always -- I got to look 'cause I don't want to
2 get this wrong, but chemical and biomolecular
3 engineering within the School of Engineering. So
4 very busy man wearing multiple hats.

5 He received his Ph.D. from Hopkins and has
6 focused on a broad range of both occupational and
7 environmental health issues in his research,
8 particularly looking at the relationship between
9 indoor and outdoor air quality and health.

10 I also want to take this opportunity to thank
11 all of you for your patience and support during our
12 search for a permanent director. It's taken a long
13 time, and I know it hasn't been easy for everyone
14 but we're excited by Dr. Breysse's arrival and
15 really looking forward to the future. So please
16 join me in extending a warm welcome to our new
17 director, Dr. Pat Breysse. [applause].

18 **DR. BREYSSE:** Thank you very much, Robin. I'd
19 like to say a few words to kick off the CAP meeting.
20 This is my first formal CAP meeting so I'm excited
21 and I look forward to being part of this important
22 work. So I was going to say a few words about
23 myself but I think Robin took care of that. But if
24 any time you'd like to hear more about some of the
25 stuff I've been involved with in my career, I'd be

1 happy to have an offline discussion. But I've
2 focused throughout my research on how do we ^ about
3 what we're exposed to and whether it's acceptable or
4 not and what we do, if we decide that those
5 exposures are unacceptable. So these are -- this
6 paradigm, I think, applies strongly to what we're
7 trying to do here today.

8 I'm happy to say that this is a priority for me
9 as the new center director. And we heard yesterday
10 from Dr. Frieden, who couldn't be here today but he
11 spoke to us yesterday, that he reaffirmed his
12 commitment to Camp Lejeune as important work. And
13 in all my discussions with him, we talked about Camp
14 Lejeune, and he made it clear to me that this is a
15 priority to me in my job. I'm committing to you
16 today to make sure that this is an important part of
17 my commitment.

18 So it's also important to remember why we sit
19 here today. I think there's no question that a
20 tragedy occurred, and we're here to learn as much as
21 we can as a commitment to those people affected, and
22 a commitment to the public at large. We have an
23 opportunity to learn something important that could
24 help the people who are impacted, but as important,
25 we can perhaps improve public health in the future.

1 So what we're trying to do here is make sure
2 that we generate at ATSDR the best science possible.
3 And the science will guide what we do and the
4 impacts of our decisions. I want to make sure that
5 I'm transparent in all our communications with the
6 CAP members. I'm committed to transparency. And if
7 any time you think that there's something going
8 opaque, let me know and we'll do our best to
9 alleviate that.

10 I also want to make sure that we recognize that
11 there's a lot of good science that we've been doing.
12 And that science has been directed by a lot of
13 hardworking, competent, well-meaning people. And
14 I'd like to acknowledge some of them here. We're
15 going to hear from them today, but in particular
16 Morris Maslia and Susan Moore, who have spent a lot
17 of time working on the historical modeling of water
18 contamination. Those are important studies and we
19 appreciate their hard work. In addition Perri
20 Ruckart, Frank Bove and Angela Ragin. They've taken
21 the lead on the four health studies, looking at the
22 health effects and the deaths associated with
23 drinking water contamination. Again, I'd like to
24 thank and acknowledge the hard work of the ATSDR
25 staff. I've been nothing but impressed with the

1 work that they're doing as I've come on board. And
2 I also want to thank and recognize the CAP members.
3 I think it's safe to say that we probably wouldn't
4 be doing a lot of what we're doing here today
5 without you and your commitment, and making sure
6 that we keep our eyes focused on the ball. And I
7 appreciate that, and I thank you for that. And
8 we'll try and honor that commitment by doing the
9 best we can to apply the best science to address
10 these important issues. So I'd like to thank you
11 again for your work. And I'm happy, excited; I'm
12 energized to be here and I want to encourage you to
13 make sure that you keep me focused on what we're
14 trying to do, and we're trying to get the best
15 answer with the best science we can. So with that
16 short introduction, I'd like to turn the meeting
17 back over.

18 **MR. BRUBAKER:** We'll now turn to Dr. Ragin for
19 a recap of the action items from the previous
20 meeting.

21
22 **ACTION ITEMS FROM PREVIOUS CAP MEETING**

23 **DR. RAGIN:** Good morning, everyone. We have a
24 list of action items that resulted from the
25 September 11, 2014 CAP meeting. The first action

1 item, the CAP members requested that ATSDR ask for
2 access to the preventive medicine unit database for
3 information on vapor intrusion. And that action
4 item was assigned to Chris Fletcher and Rick Gillig.
5 Chris or Rick, would you like to respond?

6 **MR. GILLIG:** Sure. We contacted the folks at
7 Camp Lejeune who work with the media on preventive
8 medicine unit there, and they've indicated that they
9 don't have any databases related to soil vapor
10 intrusion. They track STDs, food establishment
11 inspections, inspections of ice machines, and they
12 just started taking beach water samples and
13 analyzing those. So they don't have any vapor
14 intrusion-related information.

15 **MR. ENSMINGER:** Did you go to the fire
16 department?

17 **MR. GILLIG:** Well, there are a number of
18 different programs at Camp Lejeune where we're
19 getting information from.

20 **MR. PARTAIN:** Hey, Rick, you know, in the
21 documentation for Lejeune we have a Lieutenant
22 Commander Chappell who has noted at one point during
23 their quality samples at the day care. Did they say
24 they have ever done any type of air quality sampling
25 or taken measurements at all, period, or they just

1 don't have anything on record?

2 **MR. GILLIG:** Well, they indicated to us that
3 they don't have anything currently. Now, we do have
4 information from the base industrial hygiene
5 program, and I'm not sure where that operation is
6 based out of. Chris, do you have any information on
7 that?

8 **MR. FLETCHER:** Yeah.

9 **MR. GILLIG:** Do you want to speak into the
10 microphone so everybody can hear you?

11 **MR. FLETCHER:** Morning. So for the day care
12 indoor air samples, we're aware that the events
13 occurred and we're looking for that data, and I
14 think it's going to be in the industrial hygiene
15 database with some of the reports that we're going
16 to review so it'll be on file. But it's not
17 something -- from my understanding, what the Marine
18 Corps told me it's not something that would be
19 included in the file to be investigated.

20 **MR. ENSMINGER:** This Lieutenant Commander
21 Chappell was the head of the preventive medicine at
22 the Naval hospital. And we've gotten -- these
23 documents are in a part of the record of the
24 documents Morris's team gathered for the water
25 modeling. And they brought a GCMS down from

1 Norfolk, and he collected the samples for the indoor
2 air samples for that day care center.

3 **MR. FLETCHER:** If you've got those specific
4 documents, most likely -- okay, so if they get -- if
5 they're in Morris's files those are going to be
6 included in what we're going to review. If it did
7 occur, most likely we'll find it in addition to
8 those. But if you've got those specifically or if
9 you can send those document titles to me, I will
10 make sure that we put those on the docket stack.

11 **MR. BRUBAKER:** And I realize I actually missed
12 an opportunity to go around the table and have
13 everyone introduce themselves. It'd probably be a
14 good time to do that now before we finish the recaps
15 from the last meeting. So forgive me for that, and
16 Brad, if you wouldn't mind, we'll just introduce you
17 and go around the table.

18 **MR. FLOHR:** Yeah, I'm Brad Flohr from VA.

19 **MR. CANTOR:** Ken Cantor, technical advisor for
20 the CAP.

21 **MR. WILKINS:** Kevin Wilkins, CAP member.

22 **MR. SMITH:** Gavin Smith, CAP member.

23 **MS. FRESHWATER:** Lori Freshwater, CAP member.

24 **MR. TEMPLETON:** Tim Templeton, CAP member.

25 **DR. STEPHENS:** Jimmy Stephens, Acting Deputy

1 Director of NCEH/ATSDR.

2 **DR. BREYSSE:** Pat Breysse, Director of
3 NCEH/ATSDR.

4 **DR. RAGIN:** Angela Ragin, Branch Chief,
5 Environmental Epidemiology Branch, ATSDR.

6 **MR. GILLIG:** Rick Gillig, Branch Chief of the
7 Central Branch, ATSDR.

8 **MR. FLETCHER:** Chris Fletcher, health assessor.

9 **DR. BOVE:** I'm Frank Bove, ATSDR.

10 **MS. RUCKART:** Perri Ruckart, ATSDR.

11 **MR. ENSMINGER:** Jerry Ensminger, Camp Lejeune
12 CAP.

13 **MR. PARTAIN:** Mike Partain, Camp Lejeune CAP.

14 **MR. ORRIS:** Chris Orris, Camp Lejeune CAP.

15 **MR. BRUBAKER:** And Matt Brubaker, facilitator.
16 Thanks, and now we can resume the recaps.

17 **DR. RAGIN:** Are there any other questions for
18 Chris or Rick? Okay, we'll move on to the next
19 action item.

20 The next action item is also assigned to Rick
21 Gillig. The CAP requested that the public have
22 access to the searchable database of vapor intrusion
23 documents that ATSDR is creating. If needed the CAP
24 would like the director of ATSDR or CDC to ask the
25 Department of Defense in writing to be able to

1 release these documents. Rick?

2 **MR. GILLIG:** I guess the simplest answer is
3 yes, we will get you all the documents. There are
4 some steps we need to go through. We'll see in
5 Chris's presentation later this morning we're
6 nearing completion of the index. Maybe those
7 documents are available on the North Carolina
8 Department of Environmental and Natural Resource
9 website. They have approved us to release their
10 documents so we'll be putting those on a CD later
11 today and hope to ship those out tomorrow. So we'll
12 be talking with members of the CAP to see how many
13 copies you all want, and I know Sheila has your
14 email address so we can get everything shipped out
15 to you. That will be the entire set of documents.
16 And again, we're working to get that to you as
17 quickly as we can.

18 **MR. BRUBAKER:** Thank you.

19 **DR. BREYSSE:** And Rick, if I can add, if
20 there's anything I can do to help that process,
21 would you let me know?

22 **MR. PARTAIN:** Now Rick, is the Navy still using
23 FOUO as their reasoning for holding up all document
24 release? For official use only. Is that still the
25 reply?

1 **MR. GILLIG:** Boy, that's best answered by the
2 Department of Navy. We know that they want to
3 review documents for private -- or personal names
4 and security information. I'm not sure exactly what
5 that means. They are handling that.

6 **MS. FRESHWATER:** Can we get in writing why
7 they're -- what the reasoning is?

8 **MR. GILLIG:** We will try to get that, Lori.

9 **MR. BRUBAKER:** So let's capture that as a recap
10 item. We're going to use the flip chart today to do
11 that, so there's a follow-up around requesting
12 written documentation of the Department of the Navy
13 about documents.

14 **DR. RAGIN:** The next three action items were
15 assigned to Melissa Forrest. Melissa is
16 representative for the Department of Navy. I
17 received word earlier this week that Melissa would
18 not be here in person, and I just learned that she
19 would not be available to attend via phone. She did
20 send me more action items along with responses, and
21 I will be happy to read the action items and provide
22 the responses.

23 The first action item, the CAP would like to
24 know when the Navy/Marine Corps Public Health Center
25 purchased the first GCMS that was used for the

1 preventative medicine unit at Camp Lejeune in 1982
2 to test the air quality of the former day care
3 center. The Department of Navy response to that
4 question: As part of this request, the CAP wants to
5 submit a reference document which included a model
6 and serial number of the GCMS in question. The
7 Department of the Navy representative on the CAP
8 requested a copy of the reference document but has
9 yet to receive one. Would you like to respond,
10 Jerry?

11 **MR. ENSMINGER:** Yes, that was my fault. I, I
12 dropped the ball on that but, really? I mean,
13 they're relying on me to tell them what model and
14 serial number their piece of equipment had? Come
15 on.

16 **DR. BREYSSE:** So Jerry, can you help me?
17 Why -- how -- what are you trying to find out by
18 asking when they purchased it? I think I know but I
19 just want to be clear.

20 **MR. ENSMINGER:** We had all kinds of excuses
21 that have been made over the years by the Department
22 of the Navy, why. They kept saying we didn't know
23 what was in the water. We didn't know -- we didn't
24 have the technology or the ability to test this
25 stuff for this stuff. And then we find out that, in

1 1981, they had the GCMS. They owned one. It was at
2 the Navy Environmental Health Center in Norfolk.
3 They used it to test the air quality in the day care
4 center that they made out of the exterminator's
5 building. Why did they need it to test the water?
6 I want to know -- I mean, we know that had it in
7 '81. I want to know how early -- how many years
8 before that did they own this piece of equipment.

9 **DR. BREYSSE:** Are you ^ in that we suspect
10 there might be some data that --

11 **MR. PARTAIN:** Exactly.

12 **MR. ENSMINGER:** Yeah, yeah.

13 **MR. PARTAIN:** I mean, Dr. Breysse, I mean,
14 that's -- the issue of the -- what they knew when
15 they knew it has been ongoing for the seven years
16 that I've been involved and longer. The official
17 stance from the Marine Corps is up until 1982, they
18 really did not know what was in the water or had a
19 rational understanding of what the contaminants
20 were. And it wasn't until '84 you find out that
21 they took action to turn the wells offline.

22 We know in '82 that one of the labs that
23 actually performed a GCMS test of water at Camp
24 Lejeune modified the actual readings. For example
25 the emergency room sink is a 1001 parts per billion

1 TCE.

2 Jerry came across this document last year where
3 they had a Hewlett-Packard GCMS machine at the Navy
4 Environmental Health Center back in the 80s. So the
5 natural question is, were they doing their own
6 testing and do they have any results for that
7 testing? And that's one of the things we want to do
8 right now by finding out about this machine.

9 **MR. ENSMINGER:** And, and also land div, which
10 is the landing division, the Navy facility's
11 engineering command, out of Norfolk, was sending
12 personnel down to Camp Lejeune on a regular basis to
13 pull water samples, especially out at the rifle
14 range. They discovered a drinking water well out at
15 the Rifle Range on the Rifle Range water system that
16 had -- was it four parts per billion of TCE in the
17 raw water. They immediately took that well offline.
18 Four parts per billion. They had 1,400 parts per
19 billion in the tap water over on the main drinking
20 water system at Hadnot Point, and they didn't do
21 anything for four years.

22 **MR. PARTAIN:** And this testing started as early
23 as 1980-'81, and at the Rifle Range, what is tricky
24 about the Rifle Range is that testing was going on
25 currently while there were warnings written by other

1 labs to the Navy/Marine Corps stating that the water
2 at Hadnot Point was highly contaminated with
3 solvents, but yet no testing was done there.
4 Evidently they had to quantify that testing somehow.
5 So we want to know when the capability was there and
6 also are there other test results that have not been
7 released to ATSDR.

8 **DR. BREYSSE:** So I'll just echo that. We're
9 equally as interested in whatever data might be
10 available in whatever form, and try to come across
11 it in discovery as early as possible. So I think
12 we're on the same page.

13 **MS. FRESHWATER:** I'll just say, as someone who
14 lived on base from '80 to '83, it's particularly
15 important to know exactly what happened. There may
16 never be justice for it but I want to know exactly
17 what they knew, when they knew it, while they were
18 allowing me to drink that poisoned water.

19 **MR. ENSMINGER:** Well, we knew that they were
20 pulling samples in there way back in the early 80s,
21 and they were taking these samples back to Norfolk
22 with them, you know, 'cause we got memorandums of
23 the record written by the base quality lab person,
24 Elizabeth Betz, where she made note that they were
25 coming down and taking these water samples and they

1 were putting them in boxes and jars, and they
2 weren't even putting them on ice. So, you know. We
3 know they were taking samples back to Norfolk. And
4 if they had a GCMS, I'm sure that they were probably
5 running tests on the side.

6 **DR. RAGIN:** Jerry, Mike and Lori, Jonna is
7 capturing those action items, and she will get those
8 to the Department of the Navy, but I asked you on
9 the break that we all meet so we can make sure that
10 we've captured everything correctly.

11 **MR. ENSMINGER:** But their excuse that I didn't
12 provide them with the model and serial number of
13 their own piece of equipment, I mean, really? You
14 know, these people, they try to blow smoke up your
15 butt, and then they try to tell you your seat's on
16 fire, you know.

17 **DR. BREYSSE:** That would be one of those
18 four-letter words we talked about?

19 **MR. ENSMINGER:** I know, butt.

20 **DR. RAGIN:** The next action item assigned to
21 the Department of the Navy. The CAP wants to know,
22 in light of the July 9, 2014, EPA Region 9
23 memorandum, is the Navy/Marine Corps planning to
24 personally notify women at Camp Lejeune who may have
25 been in the past or might now currently be exposed

1 to TCE via vapor intrusion. The CAP recommends this
2 notification include all buildings over the TCE
3 plume, and especially the 12 buildings currently
4 being investigated for vapor intrusion. Immediate
5 communication should occur with current workers and
6 residents who are potentially exposed now to explain
7 the recent EPA memorandum recommendations.

8 I will read the response from the Department of
9 the Navy. Their response: Following the EPA
10 guidelines, comprehensive vapor intrusion studies
11 are going on at several locations on Camp Lejeune
12 for multiple groundwater contaminants including TCE.
13 The EPA Region 9 memorandum provides additional
14 information on TCE, and relevant portions have been
15 incorporated to a complex decision-making process
16 for vapor intrusion studies on Camp Lejeune. If a
17 comprehensive assessment suggests potential vapor
18 intrusion concerns for TCE or other compounds on
19 Camp Lejeune, the Marine Corps will provide fact
20 sheets and plan for appropriate follow-up on
21 managers to the building occupants in a timely
22 manner.

23 **MR. ORRIS:** So, it's my understanding that
24 exposure to TCE -- for a woman who is of
25 child-bearing age exposure can cause a cardiac

1 defect in as little as one day with exposure. And
2 we are looking at possible buildings for vapor
3 intrusion. I think now this response is very
4 lacking ^.

5 **MS. FRESHWATER:** I would like them to define
6 timely manner.

7 **MR. SMITH:** And I'd also like to ask that they
8 provide the exact details of how they contact them,
9 what they use to contact them and what the content
10 was that they put in that contact, which we asked
11 for last time, by the way.

12 **MR. BRUBAKER:** There's a group of follow-up
13 items connected to this. We'll make sure we get the
14 language right during the break.

15 **MS. FRESHWATER:** And before I forget it, I
16 would like to say that if they cannot send her or
17 have her on the phone, I would like a substitute
18 next time.

19 **DR. RAGIN:** Sure, we'll capture that. The next
20 action item assigned to the Department of the Navy,
21 the CAP also wants the Marine Corps to consider how
22 to inform women who worked in areas of potential
23 vapor intrusion between 1985 and now, and a list of
24 methods the Marine Corps will follow to identify,
25 locate and communicate with the women. Note that

1 solely putting the information on the website is not
2 sufficient because the website focuses on exposures
3 before March 1985, and this is a large group of
4 potentially exposed women.

5 I'll read the response from the Department of
6 the Navy. The Marine Corps is committed to
7 providing accurate information to any individuals
8 that may be affected by these issues. Based on the
9 results of a comprehensive vapor intrusion
10 assessment, the Marine Corps will utilize effective
11 notification measures to relay accurate and reliable
12 information. Are there any questions or comments?

13 **MS. FRESHWATER:** Laugh out loud?

14 **MR. TEMPLETON:** They're waiting until after.
15 And who knows when that's going to be.

16 **MS. FRESHWATER:** What do you say to that?

17 **MR. ENSMINGER:** Semper Fi.

18 **MS. FRESHWATER:** Yeah.

19 **DR. RAGIN:** The next action item, the CAP
20 requested an electronic copy of Chris Fletcher's
21 PowerPoint presentation. Sheila?

22 **MS. STEVENS:** Hi, I believe at the last CAP
23 meeting we provided hard copies of that. Is that --

24 **MR. ENSMINGER:** Gotcha. I got it.

25 **MR. GILLIG:** We also sent it out electronically

1 on the 16th of December, so if anyone doesn't have
2 it, please let us know.

3 **DR. RAGIN:** The next action item is for Rob
4 Robinson. The CAP requested more information on the
5 rates used to calculate recreational swimming pool
6 exposure.

7 **MR. GILLIG:** In development of the public
8 health assessment looking at drinking water
9 exposures, we're using information from EPA
10 exposures factor handbook. Our health assessment
11 will be very clear on what assumptions we made, what
12 parameters we used for calculating the exposures.
13 Want to make it as transparent as possible. That's
14 the reason we put it out for peer review; that's the
15 reason we put it out for public comment.

16 **MR. ENSMINGER:** Then you got -- you got to
17 remember that the training pools were also indoors.
18 So that stuff just didn't go away with the breeze.
19 I mean, when that -- you know, the splashing in the
20 water and that stuff volatizes, it stayed there in
21 that building for a while.

22 **MR. GILLIG:** And our model is a box model,
23 which generally does account for closure of a
24 building.

25 **MR. ENSMINGER:** Oh.

1 **MR. GILLIG:** So, we know it's indoor and we
2 accounted for that in our modeling and our exposure
3 populations.

4 **DR. RAGIN:** Any other questions for Rick? The
5 next action item, the CAP requested that ATSDR's
6 legal counsel provide a statement that says that
7 ATSDR does not have authority over the
8 administrative record or any ability to dictate
9 what's included in the administrative record. ^ did
10 meet with office of general counsel, and I have a
11 copy of the letter here and there are copies in the
12 back for everyone. I can read the letter for the
13 record or we can --

14 **MR. ENSMINGER:** No need. Get the letter to
15 read. Kevin, if you can't read it, I'll read it for
16 you.

17 **DR. RAGIN:** The next action item was assigned
18 to the CAP. The CAP will develop language for
19 requesting the development of a relational database
20 for the Camp Lejeune data sources. So I'll open the
21 floor for the CAP to respond.

22 **MR. ENSMINGER:** Go ahead.

23 **DR. RAGIN:** The CAP will develop language for
24 requesting development of a relational database for
25 the Camp Lejeune data sources.

1 **MS. FRESHWATER:** I think we were supposed to
2 come up with some sort of language on exactly what
3 we wanted so that she could narrow it down and
4 present it. So, we gave her exactly what we wanted,
5 and she could just take it to them.

6 **MR. ENSMINGER:** Wanted from -- for what?

7 **MS. FRESHWATER:** For the database, like how we
8 wanted it organized and --

9 **MR. ENSMINGER:** What database?

10 **DR. RAGIN:** You're referring to Melissa
11 Forrest.

12 **MS. FRESHWATER:** Yes.

13 **DR. RAGIN:** Yes.

14 **MS. FRESHWATER:** I think. That's all I can
15 think that it would be.

16 **MR. GILLIG:** Jerry, this was the database we
17 had talked about, if the Department of Navy put
18 together a database of all their environmental data,
19 having it as a relational database would allow more
20 robust data searching and analysis.

21 **MS. FRESHWATER:** And we were supposed to form
22 the language for her to take that to them and ask
23 for it. So I think having the action items moved
24 the way we discussed yesterday would be helpful
25 because some of this stuff is so -- it just slips

1 between the cracks and --

2 **DR. RAGIN:** Right.

3 **DR. BREYSSE:** What kind? This is not clear to
4 me exactly what you're asking. A database of, of --
5 'cause a database can be lots of things. There's a
6 database of all the reports and all the files that
7 are going to be gathered as part of our work?

8 **MS. FRESHWATER:** Right. So we can -- so we can
9 have a searchable database. And, you know, we felt
10 like that the Department of the Navy should do that
11 work instead of putting that work on this agency,
12 that they should do that so that this agency can
13 then utilize what, what they've done. We feel like
14 it's their responsibility.

15 **DR. BREYSSE:** You mean by this agency, you mean
16 ATSDR.

17 **MS. FRESHWATER:** Yes. So we're trying to say,
18 Department of Navy, give us these documents in this
19 form so that the scientists can do their work of
20 science.

21 **MR. ENSMINGER:** Oh, I remember now.

22 **MS. FRESHWATER:** Right, instead of them having
23 to make, you know, clerical work that's pretty time
24 consuming, but clearly the Department of the Navy
25 has the resources to do this, so they should do it.

1 **MR. ENSMINGER:** Yeah.

2 **MS. FRESHWATER:** They should just do it, and we
3 shouldn't have to form language to explain to them
4 why this is needed.

5 **MR. ENSMINGER:** You see, that's part of their
6 strategy. I mean, historically all through this
7 issue, they -- I mean, you ought to see the crap
8 that they dumped on Morris and his team. I mean,
9 stuff that, I mean, had -- was completely
10 irrelevant. I mean, it was -- but that's part of
11 their strategy. They're going to make it as hard as
12 they can for you to find what you need to find.

13 **DR. BREYSSE:** Yes, so give them language. I
14 don't think it's going to make them give us a
15 functional database of all the records and files.

16 **MR. ENSMINGER:** No, the Navy has an
17 environmental document file but I mean, the thing's
18 a monster.

19 **DR. BREYSSE:** So is the request really that the
20 Navy provide ATSDR with a database, functional
21 database, with all their records and all their files
22 related to Camp Lejeune?

23 **MR. ENSMINGER:** And constructed in a way where
24 they can -- can speed up Rick and Chris's work.

25 **MR. PARTAIN:** And Dr. Breyse, to kind of put

1 things in context of what Jerry was talking about,
2 back in 2009 and 2010, there was a portal
3 discovered, an electronic portal, that the Navy
4 created to place all the fuel farm documents and the
5 fuel venting contamination information --

6 **MR. ENSMINGER:** All fuel. Not just fuel farm.

7 **MR. PARTAIN:** Yeah -- I'm sorry, all fuel, UST.
8 It's called the UST portal. And anyway, long story
9 short, they turned over to Morris's team the portal
10 and didn't bother to tell Morris that embedded in
11 all the stuff was the instructions on how to use it.
12 They were kind of like, well, it's all there. You
13 can figure it out. It's all common sense. But, you
14 know, that's the kind of mentality we're dealing
15 with, is you have a huge document dump of
16 thousands -- you know, I think it's 1,500 documents,
17 and then you're talking over close to 100,000 pages
18 of documents. And oh, by the way, in this little
19 obscure spot, there's a little piece here that tells
20 you how to run the whole thing.

21 **DR. BREYSSE:** So I understand entirely but what
22 I'd like to do, if you guys will allow me, just down
23 with our staff and talk about how we get data from
24 the Navy, how we get reports from the Navy and how
25 we can make that more functional for us, so I, you

1 know, I get some feedback from the people who are
2 going to be using it about a better way to do that.
3 And then we can go back to the Navy with the request
4 on something they can do better.

5 **MR. ENSMINGER:** Well, they got so many
6 documents that were created by so many different
7 programs over the years, and they just dump that
8 stuff on you.

9 **MR. PARTAIN:** I agree, yeah.

10 **MR. ENSMINGER:** And, and you can't do a word
11 search in it. You've got to go back and re-create
12 it and load it all into one single -- one -- one
13 program, so that you can then go through and do a
14 word search on it. The CERCLA files, there's so
15 many different programs those documents were created
16 under, and then they hand-numbered them.

17 **DR. BREYSSE:** I understand. It's a huge task.
18 I just want to make sure that the ATSDR scientists
19 are using these data as an input into exactly what
20 we're trying to get the Navy to give us. Is that
21 fair?

22 **MR. PARTAIN:** And one, one last thing I want to
23 make sure, too, is we do not want to leave the Navy
24 and Marine Corps in a position to decide what
25 documents are important or not. The main thing is

1 the ability to search these documents in a format
2 that's useful for Morris and you-all's support,
3 Rick, and everyone at ATSDR to use. Because what
4 Jerry's talking about, you'll have one document
5 that's scanned as a PDF, and you can search every
6 word in it, and then one is a picture, and then one
7 is hand-written and you can't do anything with it.
8 And there's just so many different ways that these
9 documents have been collated and put together that
10 they're not useful. But as far as paring down what
11 is being delivered, I'd rather have everything and
12 let us try to sort through it, than have the Navy
13 say, well, here's what we think you need, and give
14 them what they think, because we've gone through
15 that Sphinx several times and found out that if you
16 don't ask the question in the correct manner at the
17 correct time of day of the correct celestial
18 alignment, you're not going to get the right answer.

19 **MR. ENSMINGER:** If you don't hold your mouth
20 right.

21 **MS. FRESHWATER:** And I would say, as a way of
22 looking at it, do you think if this was a homeland
23 security issue, that -- and they thought that we
24 were -- there was a threat of foreign terrorism,
25 that they could get a database ready very quickly.

1 And so I would say this is a homeland security
2 issue, because we have our forces and their families
3 under threat. And so, you know, it's homeland
4 security; get on it.

5 **DR. BREYSSE:** Thank you. I, I think I
6 understand.

7 **DR. RAGIN:** The next three action items were
8 assigned to the Veterans' Administration
9 representative, Jim Sampsel and Bob Clay. We have
10 Brad Flohr here. I will read the three action
11 items. The CAP wants a representative from the
12 Veterans' Health Administration to attend the CAP
13 meetings in-person. The CAP requested that the VA
14 update their Camp Lejeune website to remove outdated
15 and inaccurate information and replace with current
16 information. And the CAP also requested a copy of
17 the training materials that are given to examiners
18 to evaluate claims. Brad?

19 **MR. FLOHR:** Angela, could you check and see if
20 there's anybody on the line from VHA?

21 **DR. RAGIN:** Is anybody on the line from VHA?

22 **MR. PARTAIN:** Just nod if you can hear us.

23 **DR. BREYSSE:** We were expecting somebody to be
24 online?

25 **MR. FLOHR:** Yes, I was.

1 **DR. BREYSSE:** Well, can we check to see if
2 they're --

3 **MS. STEVENS:** It's showing on right now.

4 **MR. FLOHR:** Well, I can't say what happened to
5 them but I did ask that they -- initially I had
6 gotten a couple of the subject matter experts to
7 appear today. Because of the time that -- the late
8 time, they were not able to make it. They were
9 going to dial in, and then it was decided that the
10 team consultant for disability medical assistance
11 and his deputy were going to dial in but they got
12 called away.

13 But I did get some information on those three
14 items. As far as getting a VHA representative to
15 appear in person, I asked them -- sent that to them,
16 and that was going to happen but it didn't work out
17 for this meeting, but I expect the next one we'll be
18 able to work that out.

19 The other -- the OPH website with respect to
20 Camp Lejeune, I am advised by ^ that it is
21 up-to-date; it is accurate what is on it.

22 And the training materials are internal VA
23 documents. They have been sent to Senator Burr and
24 his staff. They're available there should you want
25 them.

1 **MR. ENSMINGER:** Now, you're telling me that
2 your website pertaining to Camp Lejeune is
3 up-to-date.

4 **MR. FLOHR:** It's the VHA website Office of
5 Public Health, they are telling me that it is
6 up-to-date, yes.

7 **MR. ENSMINGER:** Well, I'm telling you they're
8 full of crap, okay?

9 **MR. FLOHR:** Jerry, if you'll let me know -- you
10 don't have to go into it now; you know my email,
11 tell me what you think is wrong and I'll take care
12 of it and look at it.

13 **MS. FRESHWATER:** We did it last meeting.

14 **MR. ENSMINGER:** You, you have a -- they have a
15 PDF file copy of the July 2003 tox FAQs for TCE on
16 their website. 2003, Brad.

17 **MR. FLOHR:** 2003?

18 **MR. ENSMINGER:** Yeah.

19 **DR. BREYSSE:** I think for ATSDR's perspective,
20 it's important that we all have the same -- reach
21 the same dates so I think we can look also at their
22 website, and if we think there's something to be
23 updated, I think it's important that --

24 **MR. ENSMINGER:** But see, there's a lot of
25 things that aren't included on that website, and

1 it's not, it's not because it's a mistake; it's
2 refusal. There's the phrase on that website says,
3 the duration and intensity of the exposure at Camp
4 Lejeune are unknown. The geographic extent of
5 contamination by specific chemicals also is unknown.
6 The water model report was made public in March of
7 2013.

8 This language is ending up in VBA decisions as
9 well. It says, health effects from toxic water
10 exposure studies currently being conducted by the
11 Agency for Toxic Substances and Disease Registry, or
12 ATSDR, may in the future provide scientific
13 information to help evaluate possible service
14 connection for health effects or to make policy
15 changes. The only way you're going to make policy
16 changes is if you accept the science that was
17 conducted by ATSDR, and ATSDR's work was peer
18 reviewed. Now, do you or do you not accept the work
19 that's been done by ATSDR? Does the VA accept that
20 as legitimate? I want a yes or no, Brad, not a
21 shrug, okay?

22 **MR. FLOHR:** Jerry, I think I've told you
23 before. I'm neither a scientist nor a medical
24 professional. I appreciate --

25 **MR. ENSMINGER:** No, but you're making

1 decisions --

2 **MR. FLOHR:** -- I appreciate the work that's
3 been done. Our subject matter experts who supply
4 medical opinions are aware of ATSDR studies.
5 They've reviewed them. They've incorporated them.
6 I've seen some of the language.

7 **MR. ENSMINGER:** Where's the training letter?
8 The last training letter the VA put out on Camp
9 Lejeune was 29 November 2011. How are you
10 disseminating this information out to your so-called
11 subject matter experts?

12 **MR. FLOHR:** It doesn't go through our training
13 letter. That's a VBA training letter; it has
14 nothing to do with the subject matter experts and
15 their medical opinions.

16 **MR. ENSMINGER:** What?

17 **MR. FLOHR:** It has nothing to do with medical
18 opinions provided. The training letter is for VBA
19 for processing claims.

20 **MR. ENSMINGER:** Well, I beg to differ, but I've
21 got denials here that specifically state that
22 they've done meta analyses of all the studies done
23 for the past two decades, and that they can find no
24 evidence that TCE causes cancer.

25 **MR. FLOHR:** Well, that's not from VBA first,

1 'cause we don't have --

2 **MR. ENSMINGER:** It's in the VBA decision.

3 **MR. FLOHR:** It would come from a medical
4 opinion provided by a VHA subject matter expert.

5 **MR. ENSMINGER:** Who are these subject matter
6 experts, Brad?

7 **MR. FLOHR:** Occupational health specialists.

8 **MR. ENSMINGER:** Well, I mean, they can't even
9 spell council right. They even got the date of the
10 NRC report wrong. These are -- yeah, these are guys
11 that died from kidney cancer --

12 **MR. FLOHR:** Jerry, I --

13 **MR. ENSMINGER:** -- in November.

14 **MR. FLOHR:** -- I cannot discuss any individual
15 cases. I don't know anything about the case. I've
16 not seen it.

17 **MR. ENSMINGER:** I mean, your website's full of
18 erroneous information. I mean, this isn't a
19 mistake. This is deliberate. You're deliberately
20 thumbing your nose --

21 **MR. FLOHR:** I do not know your --

22 **MR. ENSMINGER:** -- at the science --

23 **MR. FLOHR:** -- I don't --

24 **MR. ENSMINGER:** -- that this agency's done.

25 **MR. FLOHR:** I do not agree with that at all.

1 But --

2 **MS. FRESHWATER:** Well, that's the way the
3 Marines feel, and that's what the Marines report
4 back to us.

5 **MR. FLOHR:** I will be glad to take back
6 anything you have and take a look at it, and I'll,
7 I'll --

8 **MR. ENSMINGER:** Well, I'll gladly give it to
9 you because --

10 **MR. FLOHR:** -- I'll check with the people at
11 public health --

12 **MR. ENSMINGER:** -- because --

13 **MR. ORRIS:** To quote the website, though, it
14 states that the report concludes available
15 scientific evidence does not provide sufficient
16 basis to determine if the population of Camp Lejeune
17 suffered adverse health effects as a result of
18 exposure to contaminants in the water supply. You
19 can't get any further black and white than that,
20 Brad.

21 **MR. PARTAIN:** And you know, Brad --

22 **MR. ENSMINGER:** And it always goes back to the
23 National Research Council's 2009 report. That's
24 always what everything closes with. But the
25 National Research Council's published a report, and

1 then down here you said the report concludes that
2 available, available, scientific evidence does not
3 provide sufficient basis to determine if the
4 population of Camp Lejeune suffered adverse health
5 effects as a result of exposure to contaminants in
6 the water supply. How much science has come out
7 since 2009, Brad?

8 **MR. FLOHR:** I definitely agree that the NRC
9 report should not be cited or anything in our
10 decisions. I've had discussions with VHA about
11 that. I will have further discussions with them.
12 We may need to do a little more training for the
13 SMEs.

14 **MR. ENSMINGER:** Who's in charge over there now?

15 **MR. FLOHR:** Dr. Gerald Cross.

16 **MR. ENSMINGER:** Who?

17 **MR. FLOHR:** Dr. Gerald Cross.

18 **MR. PARTAIN:** Brad, these, these -- this
19 language about the 2009 NRC report is appearing, you
20 know, in recent decisions. It's on the website
21 right here. You know, the scientific studies show
22 some evidence of an increased risk of kidney cancer
23 in workers exposed to high levels of TCE over many
24 years. High level benzene exposure is associated
25 with an increased risk of leukemia.

1 Next paragraph, in 2009, the National Research
2 Council published a report. I'll stop there. Two
3 things, it was a review of literature, not a
4 scientific study, but yet the VA holds it with the
5 same degree and awe as a scientific study. That NRC
6 report was addressed by letter by the then Director
7 of ATSDR, Dr. Portier, discussing the flaws, the
8 shortcomings and the fact that there was a hazard at
9 Camp Lejeune, okay? Other scientists and
10 epidemiologist, Dr. Clapp, and several others wrote
11 a letter rebutting parts of the NRC report.

12 **MR. FLOHR:** Yeah, --

13 **MR. PARTAIN:** Dr. Clapp was also a peer
14 reviewer of the NRC report whose comments were
15 disregarded because the peer review coordinator for
16 the NRC report happened to be -- who was it? The
17 peer review coordinator with the NRC?

18 **MR. ENSMINGER:** Oh, that was Dr. George Rush of
19 Honeywell, Ltd., who is running a close second with
20 DOD for the most NPL sites in North America for TCE.

21 **MR. PARTAIN:** For trichloroethylene. Now, all
22 last year, we sat, and we discussed this yesterday
23 with Dr. Breyse. The CAP asked for the leadership
24 at ATSDR to put together the interpretations of the
25 four -- now four scientific studies that have come

1 out. As you know, science is not a eureka moment,
2 where everything's discovered in one sudden blinding
3 flash of insight; it's a process. The things I'm
4 seeing in these denial letters to the veterans is a
5 consistent referral to the NRC report, a complete
6 disregard to the EPA's work declaring TCE a human
7 carcinogen, a complete disregard to IARC's finding
8 that TCE is a human carcinogen, a complete disregard
9 of ATSDR's scientific findings, that have been peer
10 reviewed, as Jerry pointed out. You guys aren't
11 talking about it. You aren't acknowledging it and
12 you're ignoring it. And these veterans are being
13 told, oh, you got cancer because you're obese or you
14 smoked, okay?

15 And at a sidebar, I want to say it may have
16 been May of last year, I was talking to you about
17 the health slide presentation that Dr. Walters put
18 together, and we discussed ATSDR's work. And at
19 that time I was frustrated with the leadership at
20 the ATSDR 'cause they weren't coming out and telling
21 the VA, this is what our science meant. And you
22 made the comment to me that, well, our people don't
23 agree with ATSDR.

24 **MR. FLOHR:** I never said that, Mike.

25 **MR. PARTAIN:** Oh, you, you said it.

1 **MR. FLOHR:** No.

2 **MR. PARTAIN:** Okay.

3 **MR. FLOHR:** Never said it.

4 **MR. PARTAIN:** Okay.

5 **MS. MASON:** Can anybody hear me?

6 **MR. ENSMINGER:** Yeah.

7 **MS. MASON:** This is Sharon Mason, and I just
8 dialed in, and I thought I was dialing in to the
9 live stream. And it sounds like there's a different
10 meeting going on. Is it? Am I in the wrong place?

11 **MR. ENSMINGER:** What meeting were you dialing
12 into?

13 **MS. MASON:** It's supposed to be for the Camp
14 Lejeune.

15 **MR. ENSMINGER:** This is it. You're here.

16 **MS. MASON:** Okay, then why am I watching it on
17 TV and it's not even matching up at all? It's
18 supposed to be live streaming.

19 **MS. RUCKART:** There's a delay between the
20 audio --

21 **MS. MASON:** That big?

22 **MS. RUCKART:** And the video. If you say so.
23 But what agency are you representing?

24 **MR. TEMPLETON:** She's an individual.

25 **MS. RUCKART:** An individual who's just calling

1 in.

2 **MR. TEMPLETON:** Her mother got breast cancer.
3 I'm sorry, yeah. She's a concerned individual. Her
4 mother got breast cancer and she believes that it's
5 from the contamination.

6 Sharon, I got your request this morning.

7 **MS. MASON:** Thank you. I see now. It all
8 caught up. I'm sorry that I interrupted. I
9 sincerely apologize.

10 **MR. TEMPLETON:** Well, welcome.

11 **DR. BRUBAKER:** I'd like to come back to make
12 sure we've finished the recaps relative to Brad.
13 Have you had a chance to respond to everything?

14 **MR. FLOHR:** Yeah, we'll take all your concerns
15 back, and discuss them with VHA, and I'll let you
16 know what, what --

17 **MR. ENSMINGER:** I mean, you know, we had the
18 two denials that I just gave you. These Marines,
19 former Marines, veterans, were both proven to have
20 been at Camp Lejeune during -- and both of them were
21 during the peak exposure period, both of them have
22 kidney cancer, and both of them were denied. And
23 the fact that they were exposed to a, a carcinogen
24 that is specifically declared a carcinogen for
25 kidney cancer isn't even mentioned in the denial.

1 They are obese or they smoke or they're male.

2 Really?

3 **MR. FLOHR:** You only gave me one?

4 **MR. ENSMINGER:** There's two there.

5 **MR. FLOHR:** There's two?

6 **MR. ENSMINGER:** Separate sheets. I mean, for
7 God sake, I mean, they don't even mention that they
8 were exposed to a, a chemical agent that's been
9 declared a known human carcinogen based upon --
10 causing kidney cancer. I mean, the EPA stuff isn't
11 even mentioned on your website.

12 **MR. FLOHR:** I'll look at that. It should be,
13 certainly. And there's no question we could do
14 better. I can't -- I don't know -- I will take
15 these back. I will look at them -- have them looked
16 at --

17 **MR. ENSMINGER:** But, but Brad, you know, we
18 shouldn't be doing this on a case-by-case basis.
19 This drives me nuts. You know how much time it
20 takes for me? I mean, I'm on the phone or on the
21 computer constantly trying to find out why. We
22 shouldn't have to be hand-delivering this stuff.
23 Your people don't have the information, and it was
24 done purposely. You look at that training
25 PowerPoint that Walters put together; I wouldn't

1 even want to call her a doctor, okay? She doesn't
2 meet the criteria.

3 **MR. FLOHR:** I'm sorry, that PowerPoint has
4 nothing to do with the compensation. That's totally
5 for healthcare.

6 **MR. PARTAIN:** But the language in the --

7 **MS. MASON:** Hey, Tim, this is Sharon again.

8 **MR. BRUBAKER:** Excuse me.

9 **MS. MASON:** I want to thank you for your
10 effort. Everything you are saying is absolutely
11 100 percent true, and I just found out --

12 **MR. BRUBAKER:** I'm sorry.

13 **MS. MASON:** -- about --

14 **MR. BRUBAKER:** I'm sorry, to our guest on the
15 phone, we're going to have to ask you to go on mute
16 during this time.

17 **MS. MASON:** Okay, is there going to be a time?
18 Because I would like to talk with Tim and his
19 efforts.

20 **MR. BRUBAKER:** We're going to need to
21 coordinate that offline.

22 **MR. PARTAIN:** Do you have her phone number,
23 Tim?

24 **MR. TEMPLETON:** I do. I'll get it.

25 **MR. PARTAIN:** Okay. But Brad the language that

1 is part of that PowerPoint is showing up, the
2 rationale that is encapsulated in that PowerPoint
3 that Dr. Walters presented is showing up in these
4 decisions here. I've got two male breast cancer
5 decisions that are citing obesity. One guy, his,
6 his -- if he's obese, maybe his BMI is over 30, but
7 the guy's a bean pole. Yeah, he's over his 50s --
8 and I think he's in his late 50s, he was diagnosed.
9 I'm sure he's got his pooch belly from being that.
10 But if obesity -- if we're looking at the VA
11 decisions, and with male breast cancer, I see
12 obesity showing up everywhere. Well, where is the
13 epidemic that the VA health examiners are seeing
14 here with obesity in male breast cancer? I mean,
15 hell, everybody should be going out and getting
16 testing for male breast cancer if obesity is the
17 prime indicator of male breast cancer.

18 Now, that other thing I asked you about, and I
19 sent several emails and we talked about it, is there
20 is a disparity between the awards given for male
21 breast cancer at 24 percent and female breast
22 cancer, I believe at 74 or 77 percent, with the same
23 number of cases.

24 **MR. FLOHR:** I can address that later. I've got
25 some information on that for you.

1 **MR. PARTAIN:** Okay, I appreciate that.

2 **MR. BRUBAKER:** Can I propose something? I
3 think we have some time for the detailed discussion
4 with the VA a little bit. Is it safe to assume that
5 the action items that started this discussion are
6 still action items?

7 **MR. ENSMINGER:** Yeah, because there's nobody
8 here, and we asked specifically for somebody from
9 VHA to be present at these meetings. And, well, you
10 see they're not here.

11 **MR. BRUBAKER:** So we can carry those forward.
12 And we can -- I know we're going to be talking to
13 the VA and we'll explore these issues as well. But
14 I think it's safe to say that, from the CAP's
15 perspective, the action items have not been ^.

16 **MS. FRESHWATER:** But we'll have more
17 opportunities to ask questions of Brad later, right?

18 **MR. BRUBAKER:** Yeah, there's a section on the
19 agenda that's, I think, for detailed exploration
20 with Brad.

21 **MR. ENSMINGER:** Well, I got an action item you
22 need to put on the chart. Does the Veterans'
23 Administration accept the ATSDR's scientific work as
24 legitimate?

25 **MR. BRUBAKER:** Okay, if you're on the phone, we

1 ask that you mute your line.

2 **MR. ENSMINGER:** If you're on the phone, Sharon
3 is your name? You need to hang up and send Tim an
4 email with your phone number, and he will call you
5 during our next break.

6 **MS. STEVENS:** Here's what we can do. Let's
7 just take this phone offline, and then we can -- if
8 Brad --

9 **MS. MASON:** I think -- I believe that was me.
10 I apologize. My -- I'm trying to turn the TV down
11 and it went the other way so --

12 **MR. ENSMINGER:** Well, we want you to hang up
13 and we want you to send Tim an email with your phone
14 number, and he'll call you during our first break,
15 okay?

16 **MS. MASON:** Oh, okay. I don't have Tim's
17 email.

18 **MR. ENSMINGER:** What, what's your phone number?

19 **MS. FRESHWATER:** You don't want to do that
20 because it's ^.

21 **MR. TEMPLETON:** It's CampLejeuneCAP@gmail.com.

22 **MS. MASON:** I'm sorry, say it one more time.

23 **MS. FRESHWATER:** CampLejeuneCAP@gmail.com.

24 **MS. MASON:** Camp Lejeune CAP, thank you.

25 **MS. FRESHWATER:** At gmail.com. And now, and

1 then hang up your phone, okay?

2 **MR. PARTAIN:** 'Cause we can hear everything
3 that's going on over there.

4 **MS. MASON:** Oh, Lord, I'm so sorry. Thank you.

5 **DR. RAGIN:** Let's just move on to -- we have
6 two more action items to cover. The next action
7 item, the CAP requested that ATSDR update the
8 website for TCE with the most current information.
9 And the updated TCE profile and tox FAQs was
10 released last month and posted on the website. And
11 I'll just echo what Dr. Breyse said yesterday, if
12 the CAP noticed anything with the website or have
13 any concerns, to let us know and we'll take care of
14 that as soon as possible.

15 In the interest of time, we'll just move on.
16 The CAP requested a formal meeting with Dr. Frieden.
17 And our CAP coordinator, Sheila Stevens, took care
18 of the logistics, and we had a very fruitful pre-
19 meeting discussion yesterday. Dr. Frieden did join
20 us at the meeting and talked with the CAP.

21 The next action item, I propose that we -- in
22 the interest of time, we can discuss a little bit
23 more at the end of the meeting. The CAP wants ATSDR
24 to start planning a meeting in North Carolina in a
25 centrally located area. And we didn't discuss this

1 yesterday but I think, in the interest of time, we
2 can do that at the end of the agenda. Would that be
3 okay with everyone?

4 **MR. BRUBAKER:** Thank you. So we're a little
5 bit off schedule here; we're about a half an hour
6 behind the questions of the CAP. Would you like to
7 take a break now and then come back for the updates?
8 So let's take a ten-minute break. Come back at
9 10:15 and we'll re-engage then.

10 (Proceeding in recess, 10:03 till 10:15 a.m.)

11 **MR. BRUBAKER:** We're about to reconvene, if you
12 want to take your seats. I have two announcements
13 to make sure everyone's aware of, things I should
14 have mentioned at the beginning. Number one, please
15 make sure you remember to sign in on the guest
16 register at some point today, perhaps at our next
17 break or when we break for lunch, so we have a
18 record of everyone who's attended. And also just a
19 reminder, when you're speaking into the microphone,
20 please make sure you get your face within a couple
21 of inches of it. Sometimes if we're far away we
22 can't be heard.

23 And as everyone's taking their seats, we're now
24 ready to transition to a series of updates on the
25 various health studies. Perri, are you -- will you

1 make the comments at the beginning?

2 **MS. RUCKART:** No, isn't it Rick doing that?

3 **MR. BRUBAKER:** Oh, I'm sorry. Yes,
4 Rick. The soil vapor intrusion update
5 first, starting with Rick.
6

**UPDATE ON SOIL VAPOR INTRUSION AND DRINKING WATER
EXPOSURE EVALUATIONS**

7 **MR. GILLIG:** Okay, this morning -- my name is
8 Rick Gillig, by the way. This morning we have two
9 updates. We've got two projects within the division
10 that we talked about over the last couple of CAP
11 meetings. One is the project on re-evaluating
12 drinking water exposures and also the project to
13 look at exposures as a result of soil vapor
14 intrusion. So I'll be presenting first, and my
15 focus will be on the re-evaluation of drinking water
16 exposures. When I'm finished, I'll take questions,
17 and then Chris Fletcher will present on the soil
18 vapor intrusion project.

19 So as we discussed before, our re-evaluation of
20 drinking water exposures, we're looking at exposures
21 that result from drinking, from showering. We're
22 looking at exposures from the use of swimming pools,
23 both Marines in training and also recreational use.
24 We know that the swimming pool that was used, the

1 indoor swimming pool, that was used for providing
2 aquatic training for Marines was used for
3 recreational use after-hours and on weekends.
4 Thanks to your input we're also looking at laundry
5 workers. We know that there were some laundry
6 facilities on base and those laundry facilities used
7 drinking water that was contaminated. So you had
8 people washing laundry; you also had people
9 operating steam presses. And we're looking at those
10 exposures. We're also looking at food preparation
11 and dishwashers. ^ we had people standing over
12 serving lines; we had people cooking and also people
13 washing dishes, so we're looking at those exposures
14 as well.

15 As we discussed in all of our presentations,
16 we're taking a conservative approach to estimate
17 exposures. We're looking at maximum contaminant
18 concentrations. We are using the information, the
19 modeling results that Morris Maslia and his staff
20 developed, and we are incorporating that information
21 into our re-evaluation of drinking water exposures.
22 Jerry, I sense you have a question.

23 **MR. ENSMINGER:** On your list of high exposures,
24 you have the food prep and the food people and
25 laundry workers. You also need to add healthcare

1 people at the hospitals and the clinics, because
2 these people were constantly washing their hands.
3 They were a high usage of water -- high exposures.

4 **MR. GILLIG:** I believe Rob -- you know, I
5 believe we've accounted for frequent hand washing.
6 Okay, I'd like to introduce the operator of the
7 slides, Rob Robinson. He is one of the lead health
8 assessors on the development of this public health
9 assessment.

10 And, you know, as we get together every
11 quarter, we provide updates on the progress of these
12 projects. We discussed over the last couple of
13 meetings about developing some models to help us
14 with our evaluation of exposures. We've developed
15 those models. Those models, we're looking at
16 showering; we're looking at exposures resulting in
17 training, in those indoor swimming pools; we're
18 looking at swimming exposures, and we're also
19 looking at workers in the mess halls and the laundry
20 facilities. Those models were developed by staff
21 that work with Morris, and one of our modelers,
22 Jason Sautner, is here in the audience.

23 As I mentioned we're incorporating the model
24 results into our public health evaluation. And
25 we're currently readying the public health

1 assessment for release, for review -- or for
2 release -- for peer review release. It'll go
3 through the ATSDR clearance process. We've had a
4 review within the branch, so several issues are
5 currently being addressed and that document should
6 be put into the peer review process -- I guess I'm
7 jumping ahead on my slides here.

8 So we, we developed a draft document. We've
9 done quite a bit of review on that. We expect to
10 begin the peer review process in the winter of 2015.
11 And the CAP will be one of the peer reviewers for
12 that document. And then we expect to release the
13 document for public comment late spring of 2015.

14 Any questions on our development of the public
15 health assessment looking at drinking water
16 exposures? If not, Chris, I'm going to turn this
17 over to you.

18 **MR. FLETCHER:** Good morning. I'll provide a
19 brief update on some of the changes and progress
20 we've made with document review since our last CAP
21 meeting. So currently we're finalizing all the sub-
22 indices. As I've discussed at previous meetings,
23 we're creating a document index for each subgroup of
24 data. So in other words, what you see on the slide,
25 each subgroup from the Department of the Navy/U.S.

1 Marine Corps will have its own index of all the
2 documents we have from those sources as well as an
3 index for the EPA documents, and those will be found
4 with the state in the North Carolina DENR. The
5 documents we're using from ATSDR, basically stuff
6 from Morris in the water modeling as well as the
7 documents you've provided.

8 Next slide, please. So the final product of
9 that will look -- we'll have a master index and then
10 all sub-indices, all within an Excel spreadsheet.
11 So those of you that are familiar with Excel, you
12 know, it's got different worksheets or tabs at the
13 bottom, with a master index and then a sub-index for
14 each sub-source. That's because each sub-source
15 has -- there's a lot of various information included
16 from different sources about the documents they
17 have. Not all of those match up with other sources,
18 so what you can see here on the slide, the data
19 columns that do match that we found from every
20 source are file name, document title -- file name is
21 the PDF digital electronic file name -- document
22 title, date, author, notes. Those do match up.

23 So next slide, please. You can see here, this
24 is a screen shot of kind of the top. The way it's
25 looking right now this is still in draft and

1 we're -- like I said, we're finalizing all of this.
2 So you can see how the master index will look but
3 the file names, again, that's the electronic file,
4 document title and date. But what we're doing with
5 this, as you see kind of on the bottom of the two
6 images there, the bottom right, a column for EPA and
7 a column for ATSDR.

8 Next slide, please. Those continuing out to
9 the right so this is a rather wide spreadsheet.
10 What we'll do with these columns is just simply put
11 a checkmark under each of the sources where
12 documents also found -- actually, no, we're not
13 going to put a checkmark; I take that back. We'll
14 put the file name. So as we've gone through all
15 these tens of thousands of files, we've found that
16 many files are identical but have different file
17 names or document titles as they were stored in
18 different sets of data.

19 So to help everyone understand how we're going
20 to compare files to files, we'll have the file name
21 that we use on the left, the left column, so the --
22 in our file name column. But then we'll put the
23 file name as found in other data sources beneath
24 those data source titles.

25 Next slide, please. So the next steps we have

1 are to, again, complete the file index, and we're
2 going to ensure that there's a one-to-one match with
3 files to file title in the master index. What we'll
4 be doing, will be able to guarantee is that if
5 you're looking for a file, you can find it, no
6 doubt. We really don't want any holes to be in this
7 at all. That won't do anybody any good.

8 Following that, we'll do the key word search.
9 So when we shared the list with you guys in
10 November -- with the CAP, that is, in November last
11 year, we had 172 key words. Since then I've been
12 wrapping up some emails that came in towards the end
13 of the year last year, and I think that added
14 another eight or ten key words, or a little over 180
15 or right at 180 key words. We didn't get any
16 feedback from the CAP on that as far as whether or
17 not you like the key words we had or if there's any
18 additional you wanted us to add to that. Is there
19 anything you guys want to mention in this forum?
20 Okay.

21 So we'll do each key word search with the final
22 list of PDFs, and we're going to do our best to
23 remove all duplicates so it'll be as small a group
24 of documents as we can get it. Each key word search
25 will give us a list of documents where that key word

1 was identified, and at that point we'll have a
2 person go through and open manually each document,
3 verify whether there was data or not to be
4 extracted, and then additionally extract that data.

5 Once the data is extracted, we'll load that
6 into a database, and at that point we'll be able to
7 do our normal summary statistics and data analysis
8 and move forward at that point.

9 Next slide. So that's pretty much it for me
10 for the update. So to kind of relay this back to
11 the discussion earlier about the relational
12 database, what we've done is gone through more than
13 60,000 document titles at this point. We've
14 requested documents of interest based on that review
15 and have, I think, more than 30,000 actual
16 electronic files.

17 What's taking so long is, like I alluded to
18 earlier, with a master index. Many of these files
19 had the same file name. In some cases they don't
20 but they're still identical files. So we've been
21 opening each of these files, comparing them to each
22 other and doing our best to remove duplicates so
23 that our next key word search and data extraction go
24 as quickly as possible by having as few documents as
25 possible to extract data from.

1 So once we're done extracting the data, we
2 enter that into the SQL Server database that we use,
3 at that point we more or less have a relational
4 database that will be searchable by date, site I.D.
5 and some of the things that I mentioned in last
6 fall's CAP meeting. So we're on the way to that at
7 ATSDR already.

8 **MR. ENSMINGER:** Just one question and a little
9 brief history for Dr. Breyse. We had references in
10 a report that was issued in May of 1988 from a
11 Department of the Navy contractor, Environmental
12 Science and Engineering, who did the RIFS, Remedial
13 Investigation Feasibility Study, for Camp Lejeune.
14 And they recommended that, until the contamination
15 sites are totally remediated, they had precautionary
16 measures which needed to take place and be
17 undertaken to alleviate any further human exposures
18 from the contaminants. One of those was going
19 underground work space and ambient air quality
20 sampling indoors over buildings that were located
21 above these plumes. We have documents that show
22 where the Department of the Navy and Camp Lejeune
23 officials announced to the public, in court recorded
24 documents, the meeting minutes where they've
25 accepted those and actually announced that they were

1 going to conduct those samples.

2 Now, a paper trail of letters, going from Camp
3 Lejeune's assistant chief of staff of facilities to
4 the Navy facility's engineering command, asking for
5 funds to contract that air quality sampling to be
6 done. That was in October of 1988. That's the end
7 of the trail.

8 ATSDR requested those documents, 'cause they're
9 not anywhere in the files. The paper trail ended
10 there. And they got a negative response. So my
11 question is, are you guys putting some kind of
12 disclaimer in here, where you've asked for these
13 documents that are evidenced in the record, to
14 protect the agency?

15 **MR. FLETCHER:** I do have emails saved where we
16 sent the request in writing and it's come back in
17 writing with the Navy's response, so.

18 **MR. PARTAIN:** Which is the response, I believe,
19 correct me if I'm wrong, is just because of the
20 existence -- just because we don't have the
21 documents doesn't mean ^. I think their response is
22 something to that effect.

23 **MR. ENSMINGER:** Yeah, well.

24 **MR. FLETCHER:** Their response is what they give
25 us, and we'll include it in our document.

1 **MR. ENSMINGER:** Okay, good, good. I mean, you
2 got it covered well.

3 **DR. BREYSSE:** Chris, can I suggest that if
4 there's a citation for a document, a report or
5 something, you put it in the database as a title.
6 And then you just put -- then you document asked for
7 or given, so we know that there's a document; we
8 just haven't found it yet. 'Cause I don't want to
9 lose track of that, that trail that ends, because
10 those documents might appear somewhere in some other
11 place. We might have a list of all that stuff and
12 sometimes in the database that we asked for it and
13 it wasn't there.

14 **MR. FLETCHER:** We are gathering that as well as
15 -- even when the Navy says they can't find it or
16 whatever, we still intend to use those document
17 titles as a key word search term. So we're still
18 going to search for it and see what we can find.

19 **MR. PARTAIN:** In all fairness to Chris and
20 Rick, I mean, they did diligently go after to get
21 these documents and tried to ferret out where they
22 may be. But as with, you know, a lot of the key
23 documentation with Camp Lejeune, once you drill down
24 to that point where you can get, oh, eureka, here it
25 is, it's gone. Another example was the well log

1 books that showed -- then Morris had to find this
2 with his water model -- the actual well log books
3 from Camp Lejeune for the contaminated wells
4 mysteriously disappeared, and never were found.

5 **DR. BREYSSE:** And I didn't mean to in any way
6 suggest --

7 **MR. PARTAIN:** Oh, no, I -- I just --

8 **DR. BREYSSE:** I just wanted to understand
9 myself.

10 **MR. PARTAIN:** But that's something we've been
11 fighting, and it goes back to that Sphinx comment
12 that I made earlier this morning. If you don't ask
13 the right question in the right manner at the proper
14 celestial alignment, you're not getting the answer.
15 And there's been several examples, probably the
16 classic one was Senators Burr and Hagan asking the
17 Navy how much fuel they lost. Well, the answer back
18 from the Navy was, well, according to our inventory
19 records, we lost 30- to 50,000 gallons of fuel,
20 period, nothing more. Then we found out, oh, it's
21 1.5 million gallons of fuel. But we didn't ask the
22 correct question and they answered according -- the
23 caveat was, according to our inventory records.

24 And like with the well log books that Morris
25 had to go through trying to do the water model.

1 I've got well log books from Camp Geiger that go
2 back to the 1950s, that I had that was given to me
3 by ^. So why are those books in existence but not
4 the critical ones that we need? And that's been one
5 of the hardest issues that we've been fighting the
6 Navy and the Marine Corps with the documentation so
7 you guys can do your jobs, because without these
8 readings, without these samplings, without the well
9 log books, it handcuffs y'all's efforts to get the
10 truth out.

11 **MR. ENSMINGER:** Well, everything and anything
12 that goes to the Department of the Navy as far as
13 requests for information or anything contained --
14 pertaining to Camp Lejeune water, it goes through a
15 platoon of lawyers, and they gen up their lawyerese
16 responses for Headquarters, then that's what you get
17 back.

18 **MS. FRESHWATER:** And I want to say that, you
19 know, this is where I think our work is so
20 important, not just because of Camp Lejeune but to
21 set standards, because right now in Red Hill,
22 Hawaii, the Department of Navy is refusing to take
23 care of the tanks, those huge tanks at Red Hill, and
24 they're fighting with the local health department
25 who is saying, no, you need to do this. And the

1 Department of the Navy saying, well, we just -- we
2 don't think we need to. And they're saying, oh,
3 well, we don't really know. We lost this much
4 inventory of fuel, and we know it got into the
5 ground, but everybody knows, all these scientists
6 are fighting on their own, trying to say, well, no,
7 that's -- you don't know that's how much fuel you
8 lost. And if they lose their drinking water supply
9 on that island, that's, that's gone. I mean,
10 that's -- if that's contaminated, that's -- you
11 know. And that's in the shadow of Pearl Harbor.
12 And that's happening right now. And that's off the
13 radar but I guarantee you it won't be for long.

14 So what we do now is really important for other
15 people to have some ground to stand on when they
16 want to say to the Department of Navy, no, you don't
17 get to decide what's safe and not, you know. All
18 the people who are going to have bad drinking water
19 in Hawaii have a say as well. So I think it's
20 really important.

21 **MR. ENSMINGER:** But that's only Oahu; that's a
22 small island.

23 **MS. FRESHWATER:** True.

24 **MR. ENSMINGER:** I'm sure the Department of the
25 Navy would like to move everybody off of Oahu and

1 let them have it.

2 **MR. PARTAIN:** Yeah.

3 **MS. FRESHWATER:** They can play golf, just don't
4 sprinkle the...

5 **MR. BRUBAKER:** Any final questions for Rick or
6 Chris?

7 **DR. CANTOR:** Yes, I have a question. So both
8 of these are -- clearly the vapor is historical
9 database and public health assessment, I assume, is
10 historical -- relooking at the historical exposures
11 that might have occurred. So this raises the issue
12 whether, when this is all said and done, there will
13 be a reevaluation of the exposures for mortality
14 study, first of all, and second of all, for the
15 oncoming incidence study.

16 **MR. GILLIG:** We are looking at both historical
17 and more current exposures so we want to cover both
18 time periods.

19 **DR. CANTOR:** So presumably these will be -- fit
20 into a revamped exposure assessment for those two
21 studies or whatever other studies might occur.

22 **MR. GILLIG:** Yeah, as far as --

23 **DR. CANTOR:** I see Frank is kind of --

24 **DR. BOVE:** Go ahead.

25 **MR. GILLIG:** I was going to say as far as how

1 the health assessments are used to support study
2 activities, I would turn to my colleagues in the
3 health studies program for an answer on that.

4 **DR. BOVE:** I don't expect the drinking water
5 exposure estimates to change. I mean, there's a --
6 you're basing them on Morris's model.

7 **DR. CANTOR:** Correct.

8 **DR. BOVE:** So no, we're still going to base the
9 mortality and cancer incidence studies on the
10 drinking water exposures. The cohort that we're
11 following is based on that as well.

12 So we're not basing it on vapor intrusion for a
13 couple of reasons. One, we don't know who was in
14 those buildings. In fact we really don't know how
15 long people worked at the base. The civilian
16 mortality study, I had a long discussion with our
17 point of contact at the Marine Corps a couple days
18 ago, because they were saying that we were trying --
19 we meaning the other division and them, was trying
20 to use the civilian mortality study to determine how
21 long people worked at the base. You can't do that
22 because there's -- it's truncated. The cohort's
23 truncated, so you really can't get -- the only
24 reason that data's in the civilian mortality study
25 is to compare it to Pendleton to show that there are

1 similarities between the two bases.

2 If you really want to know how long -- who was,
3 who was in these buildings and how long they were,
4 you're going to have to ask that to the Marine
5 Corps. You're going to have to talk to the people
6 who actually worked in those buildings. There is no
7 data, as far as I know, who worked in those
8 buildings and how long they worked there, all right?
9 And so we can't really incorporate that into our
10 study, and I don't think there's going to be that
11 many people exposed in terms of enough to study. I
12 know there are enough people for health effects and
13 so on. I'm not trying to diminish that; I'm just
14 saying there won't be enough for us to do a separate
15 study -- at least I don't think there will be
16 enough. We don't know how -- we really don't know
17 how many workers in those buildings that were -- had
18 vapor intrusion. So does that answer -- or?

19 **DR. CANTOR:** Well, I think it does. And then
20 so there presumably was not -- either no data or
21 minimal exposure in the housing to the vapor
22 intrusion; is that correct?

23 **DR. BOVE:** Now, this is your job.

24 **MR. ENSMINGER:** No, turn that over to my
25 colleagues.

1 **MR. GILLIG:** At this point, I don't think I can
2 say. I mean, we've had a lot of data to go through
3 but a lot of analysis still needs to be done so I
4 don't want to speculate. But given that a lot of
5 the contamination was close to the fuel farm, and
6 most of those buildings were warehouses and such,
7 it's probably very limited in residential areas.

8 **MR. ENSMINGER:** There was only one housing area
9 that actually had a physical plume, and that was
10 Tarawa Terrace.

11 **DR. BOVE:** Right, and I don't think the vapor
12 intrusion -- but I think the vapor intrusion would
13 be dwarfed by the drinking water exposure. So I
14 don't think it would add that much more to the
15 exposure they got, the drinking water itself. I
16 mean, when you're talking about 215 parts per
17 billion PCE measured, and the average monthly got up
18 to at least 170-180, right, Morris? I can't
19 remember exactly how high.

20 **MR. MASLIA:** At Tarawa Terrace?

21 **DR. BOVE:** Yeah, the monthly max.

22 **MR. MASLIA:** The monthly modeling max was 183.

23 **DR. BOVE:** Yeah, yeah 180 -- so I think that
24 that would dwarf the --

25 **MR. MASLIA:** Of perc.

1 **DR. BOVE:** Yeah, of the vapor intrusion -- any
2 vapor intrusion at Tarawa Terrace. But I -- yeah.

3 **MR. BRUBAKER:** Okay, so any final questions?

4 **COURT REPORTER:** I do. Morris, could you
5 please repeat what you just said? I didn't totally
6 hear it.

7 **MR. MASLIA:** I'm Morris Maslia. I'm with the
8 Division of Community Health Investigations, and
9 along with our staff conducted the water modeling
10 that was published in 2013.

11 I believe the question was from someone, what
12 was the maximum reconstructed drinking water
13 concentration at Tarawa Terrace? And my answer was,
14 183 micrograms per liter, and you can find that in
15 the Tarawa Terrace Chapter A report either on graphs
16 or in the appendix listing month-by-month, which is
17 on the ATSDR website.

18 **MR. BRUBAKER:** Thank you. Thanks very much.
19 We're ready to transition to Perri for updates on
20 the health studies.

21
22 **UPDATES ON HEALTH STUDIES**

23 **MS. RUCKART:** Hey, everybody, just a few quick
24 updates on our health studies that are still in
25 progress. We have the male breast cancer study, so

1 we received and responded to the peer reviewer
2 comments, we have four sets of those, and revised
3 the manuscript, and responses are currently being
4 reviewed by the agency. For the health survey,
5 we're currently analyzing the data, keeping in mind
6 that there is numerous outcomes and we have the two
7 populations, well, three populations: Marines, the
8 civilians and the dependents. That's a pretty large
9 effort. And our cancer incidence protocol, a draft
10 protocol, was sent out for review to the expert
11 panel that we met with this summer and to our peer
12 reviewers. And we asked to receive their comments
13 by the end of this month. We've already received
14 one or two. Any questions about that?

15 Okay, moving along, I just wanted to discuss
16 with you the results of our adverse pregnancy
17 outcome study. It was published in November in the
18 journal *Environmental Health*, and you can see the
19 title there.

20 So the purpose of this study was to determine
21 if maternal exposures to the contaminated drinking
22 water at the base were associated with preterm
23 birth, small for gestational age, reduced mean birth
24 weight and term low birth weight, and in a few
25 slides here I'll get into what we mean by those and

1 further define those outcomes. This study is a re-
2 analysis of a previous study which incorrectly
3 categorized as unexposed some maternal exposures
4 before June 1972, and that was based on the
5 information available at the time. So that's one
6 reason we wanted to re-analyze the study, and
7 additionally we wanted to re-analyze it 'cause now
8 we have the estimated levels from the water
9 modeling. The previous study just used exposed,
10 yes/no.

11 And just to let you know, we used the birth
12 certificate information and housing information from
13 the original study. We didn't collect any new
14 information on the births; it's just the exposure
15 assessment that was different.

16 So I'm going to quickly review the background
17 on the drinking water contamination. I know that
18 mostly everybody here is familiar ^. There are some
19 new people doing the streaming. So there are three
20 water distribution systems that served most of the
21 base housing. Those were Hadnot Point, Tarawa
22 Terrace and Holcomb Boulevard. And volatile organic
23 compounds, VOCs, were detected in some wells in two
24 of the systems, Hadnot Point and Tarawa Terrace,
25 during the base's sampling program in the 1980s.

1 So Hadnot Point started operations in 1943 and
2 was mainly contaminated with TCE from leaking
3 underground storage tanks, industrial area spills
4 and waste disposal practices. Vinyl chloride and
5 DCE were often present in the water when TCE
6 degraded, and PCE and benzene were also found. The
7 maximum amount of TCE detected in the distribution
8 system was 1,400 parts per billion in May 1982.
9 Now, Hadnot Point served the Main Side barracks and
10 Hospital Point family housing areas. Prior to 1972
11 it also served family housing at Midway Park,
12 Paradise Point and Berkley Manor.

13 So Tarawa Terrace began operations in 1952. It
14 was mainly contaminated with PCE from an off-site
15 dry-cleaner. And the major supply well for Tarawa
16 Terrace was about 900 feet from the dry-cleaner
17 septic tank. The maximum amount of PCE detected in
18 the distribution system was 215 parts per billion in
19 February 1985. And TCE, DCE and vinyl chloride were
20 also present in the system due to degradation of
21 PCE. Tarawa Terrace served the Tarawa Terrace
22 family housing areas and it partially served Knox
23 Trailer Park. I just want to let you know, if you
24 have any questions, you can just stop me at any
25 time; that's fine.

1 So I mentioned there was a third system -- so a
2 little bit about how the contamination happened in
3 these systems. Each system had many more wells than
4 were necessary to supply water on any given day, so
5 wells are rotated in and out of service and water
6 from all the wells was mixed before treatment and
7 distribution. So the contamination levels in the
8 drinking water distribution system varied depending
9 on which wells were being used. And the most
10 contaminated wells at Hadnot Point and Tarawa
11 Terrace were shut down by February 1985.

12 As I mentioned there was a third system that
13 supplied water to base housing; that was Holcomb
14 Boulevard. And Holcomb Boulevard served family
15 housing at Midway Park, Paradise Point and Berkley
16 Manor when it began operations in June 1972. It
17 also served Watkins Village, when it was constructed
18 in the late 70s, and Tarawa Terrace family housing
19 after March 1987. So as previously mentioned, prior
20 to June 1972, Midway Park, Paradise Point and
21 Berkley Manor were served by Hadnot Point. And the
22 Holcomb Boulevard system was generally
23 uncontaminated except when the Hadnot Point
24 supplemented Holcomb Boulevard during high demand in
25 dry spring and summer months, and also during a

1 10-day period in early 1985 when the system was shut
2 down for repairs.

3 **MS. FRESHWATER:** I have a question. I keep
4 finding -- when I research I keep finding different
5 answers on how often that happened, that the Hadnot
6 Point was --

7 **MS. RUCKART:** The intermittent transfer of the
8 water.

9 **MS. FRESHWATER:** Yeah. Do we have any hard
10 facts on that?

11 **MS. RUCKART:** I'm going to let Morris speak to
12 that, if you want to come up to the microphone.

13 **MR. MASLIA:** I introduced myself previously; I
14 don't want to do that again. We spent quite amount
15 of effort and time when we were doing the water
16 modeling. If you go -- I'll tell you where to find
17 them, and then I'll go into an explanation, just so
18 we have it. Go to the Hadnot Point-Holcomb
19 Boulevard Chapter A report. There's a section on
20 intermittent water transfers. And we had -- I know
21 Jason, he did, and Rene, as far as also looked
22 through all the files that we were provided, and we
23 found times when there's a booster pump, I think
24 it's 720, that was located along the pipeline
25 between Hadnot Point and Holcomb Boulevard, that

1 they would intermittently turn that on and off. And
2 then there was also a valve at Marston Pavilion on
3 the other side of the creek, that they would also
4 turn that on and off.

5 We were able, from the information data, again,
6 that's in the report, and I can't pull that off my
7 head, but it varied from sometimes four incidences
8 per month to maybe eight, and the data is in there.
9 Where we were missing information, which was
10 sometimes a substantial block of time, that's where
11 we relied on our university partner and used some
12 probabilistic methods. Again, explained in the text
13 of the report to estimate the number of times during
14 the period when they are missing, and all those are
15 in a table in the report that they'll tell you
16 exactly how many times per month during this period
17 of 1972 through 1985 that transfers were made.

18 **MS. FRESHWATER:** So would you say that, since
19 you started your research, that you found that it
20 happened -- it seems to me that we're finding that
21 it happened more than we may have originally
22 thought. It seems to -- would that be a fair
23 assessment?

24 **MR. MASLIA:** Let me answer it in a slightly
25 different manner, because from a scientific

1 investigation, you try to go in objectively, not
2 trying to think how many times it was or was not;
3 let the data speak for itself.

4 **MS. FRESHWATER:** Right.

5 **MR. MASLIA:** Okay. But for those who were here
6 when we had the first expert panel meeting, we
7 specifically asked that question from utility
8 operators and all that, and that is part of what
9 elongated the process. And the answer came back
10 that there was never any interconnection. Okay.

11 As we started looking through the data and
12 talking with them more and more, and actually
13 talking to the operators, we mentioned -- or asked
14 the question, because hydraulically it was not
15 possible to open up that, that pump. That is a huge
16 pump and it was there for a reason. And we knew
17 also that Camp Lejeune, their method of operation
18 was to keep all the storage tanks full. They would
19 never let them drop below because of fire
20 protection. So they had to have water from some
21 place when they were running low. And so it turns
22 out that, when we were discussing about transferring
23 water, then we obtained first-hand information,
24 well, yeah, they would operate it so many hours a
25 day during the dry spring and summer months to

1 compensate, say, for filling swimming pools at
2 Holcomb Boulevard and watering the lawns and --

3 **MS. FRESHWATER:** And the golf course.

4 **MR. MASLIA:** -- and things of that nature, and
5 so they would turn that booster pump on. And so
6 that's how we did it. But again, there are periods,
7 as you'll see in the report, where there's just --
8 as throughout this whole process, it's an iterative
9 process, there's missing information. And so we
10 went to some alternative or novel methods; in this
11 case it was a probabilistic method to estimate when
12 we did not have the information. And so I don't
13 want to cite off the top of my head because I
14 really -- I'd rather refer to the table, but the
15 table will tell how many times per month for the
16 period of record that there were transfers going in.
17 And it also gives you a step-by-step calculation and
18 a rationale for how many hours the pump was
19 operated.

20 **MS. FRESHWATER:** Okay. All right, thank you.

21 **MR. ENSMINGER:** The Holcomb Boulevard system,
22 when it was originally created, it only had eight
23 wells, so -- 'til it was expanded, and that
24 expansion wasn't completed 'til March of 1987. It
25 wasn't 'til July of 1987 that they finally got

1 smart. They quit using treated water to irrigate
2 the golf course with -- they drilled a well by one
3 of the water ^, and they were pumping water out of
4 that well into the ^, and they were pumping the
5 water to irrigate the courses. Then after July of
6 '87 they were pumping that water out of the ^ to
7 irrigate the course.

8 **MS. FRESHWATER:** And as a former --

9 **MR. ENSMINGER:** And that's two courses.

10 **MS. FRESHWATER:** -- juvenile delinquent, we
11 used to steal golf carts out of that golf course and
12 ride around in the street. They use a lot of water
13 in that golf course.

14 **MR. ENSMINGER:** Well, there's two of them.

15 **MS. FRESHWATER:** I'm talking about the Paradise
16 Park.

17 **MR. ENSMINGER:** There's two championship
18 courses there.

19 **MS. FRESHWATER:** Right.

20 **MR. ENSMINGER:** You got the scarlet and the
21 gold course.

22 **MS. FRESHWATER:** Right.

23 **MR. PARTAIN:** The whole incidence about the
24 transfer pump was an example of -- you know, yet
25 another example of asking the Sphinx the correct

1 question in the right manner. It all started out
2 with the first statements by the Marine Corps saying
3 that, other than the January 1985 incident, we never
4 used that transfer valve.

5 **MR. ENSMINGER:** That was Matt Frezell.

6 **MR. PARTAIN:** And that was the director of the
7 utilities and what have you that were saying that to
8 ATSDR. Then Jerry and I found references about this
9 booster pump that we brought to Morris's attention.
10 Then they started digging, and then lo and behold
11 when we started talking to people -- when they
12 started talking to the people who operated the
13 plants, then we found out that this was indeed
14 occurring at a more frequent rate.

15 **MR. ENSMINGER:** That booster pump was located
16 at the corner of Holcomb Boulevard and Snead's Ferry
17 Road. It was right there in that little grassy area
18 right by the edge of the woods.

19 I remember taking a Washington Post reporter in
20 there and this thing was -- at that time, this was
21 in 2003, the roof was caved down. You'd think it
22 was an eye sore. I specifically pointed that out to
23 him. I said, why the heck would they let that thing
24 sit there? Next time I went in there, it was a bare
25 dirt space. That's where that pump was located.

1 **MS. FRESHWATER:** Speaking of bare dirt, that
2 made me remember one other thing I wanted to say.
3 We've talked a lot about the Tarawa Terrace school.
4 My concern about, you know, making sure there are no
5 children still being exposed through vapor
6 intrusion. There's a Marine named John Olin who's
7 been helping me, and he has -- I think we may have
8 better information on the location of the old
9 school. And he has gone back in the way-back
10 machine on Google Earth, and so I have some stuff I
11 just want to give you to take a look at before I go.
12 I'll email or just show you or whatever. Just don't
13 let me forget about that.

14 **MR. ENSMINGER:** Olin was a dependant; he wasn't
15 a Marine.

16 **MS. FRESHWATER:** Hmm?

17 **MR. ENSMINGER:** John Olin was a --

18 **MS. FRESHWATER:** Oh, sorry, you're right,
19 you're right. But he's involved with the issue. He
20 was a dependant. He went to the former day care
21 center that was a toxic, toxic playground.

22 **MS. RUCKART:** Then I just want to briefly go
23 over the methods used in the study. We
24 cross-referenced birth certificate data from Onslow
25 County, that's where Camp Lejeune's located, with

1 Camp Lejeune housing records. And we identified
2 11,896 live singleton births that were 28 to 47
3 weeks' gestation and who weighed at least 500 grams
4 during 1968 to 1985 to mothers who lived at Camp
5 Lejeune at delivery. Five hundred grams, just so
6 you know, is about 1.2 pounds. And we started the
7 study in 1968 because that's when North Carolina
8 began computerizing their birth certificate data.
9 And this is the data linkage study that did not
10 involve contact with participants; we just used
11 available data.

12 And the outcomes that we looked at, preterm
13 birth, that is, being born before 37 weeks of
14 pregnancy, small for gestational age, babies' birth
15 smaller in size than normal for their gestational
16 age in the week of pregnancy, commonly defined as
17 the 10th percentile, weighed below the 10th
18 percentile for their gestational age, reduced mean
19 birth weight, lower average birth weight among the
20 term births. So in this study we compared the
21 average birth weight among full-term births at Camp
22 Lejeune who were exposed to contaminated drinking
23 water to full-term birth at Camp Lejeune who were
24 unexposed. And term low birth weight, that's
25 full-term babies who weighed less than 2,500 grams

1 at birth; that's about five and a half pounds.

2 So as we discussed there was very little
3 measured data on the contamination, so the ATSDR
4 conducted extensive water modeling to reconstruct
5 the past drinking water exposures at the base. And
6 the water modeling feature -- the water modeling is
7 a unique feature of all of the Camp Lejeune studies.
8 And other studies that evaluated these associations
9 did not have monthly estimates of the contaminated
10 levels of the residents.

11 So to figure out which mothers were exposed and
12 to what levels they were exposed to, we used address
13 information collected from the birth certificates
14 and base family housing records, and we combined
15 those with the water modeling results. We linked
16 each month of pregnancy to the estimated levels of
17 contaminants in the drinking water serving that
18 residence. And we evaluated each trimester
19 separately and the entire pregnancy. And for each
20 of these time periods, births were categorized as
21 unexposed if mothers did not live at Camp Lejeune,
22 if their residence at Camp Lejeune received
23 uncontaminated drinking water or if the mothers were
24 exposed for less than one week during that time
25 period.

1 So I mentioned before that this study was a re-
2 analysis of a previous study, and this slide
3 compares the original exposure assessment with the
4 current one. And based on the new exposure
5 information almost 1,200 fewer births were
6 categorized as unexposed; that's the last row of the
7 table. And over 1,300 additional people were
8 categorized as exposed to TCE because they lived at
9 Holcomb Boulevard and received Hadnot Point water
10 before June 1972. So that's the second row there.
11 You see previously it went from 31 TCE-exposed
12 births up to 1,342. And so because of this
13 information, we were more thoroughly able to
14 evaluate TCE, and we also had a cleaner unexposed
15 group.

16 So just some information about our data
17 analysis. We used unconditional logistic regression
18 and calculated odds ratios for preterm birth, term
19 low birth weight and small for gestational age. An
20 odds ratio compares the risk or the odds of disease
21 among those who are exposed with the risk among
22 those who are unexposed. An odds ratio greater than
23 1 indicates a higher risk of exposure among those
24 exposed compared with the unexposed.

25 And we used linear regression for the mean

1 birth weight difference, and we evaluated that as a
2 continuous variable. We calculated 95 percent
3 confidence intervals. These give us an estimate of
4 how uncertain we are of the actual risk. A wide
5 confidence interval indicates a lot of uncertainty
6 about the risk and that the estimate's not very
7 precise. Using a 95 percent confidence interval is
8 somewhat arbitrary but it's what's commonly used in
9 epi studies.

10 And we evaluated risk factors by adding them to
11 the model with the exposure and seeing if including
12 them in the model changed the results. The risk
13 factor data came from the birth certificates except
14 for rank, which came from the family housing
15 records, and we used that as a surrogate for
16 socioeconomic status.

17 And we used two criteria to interpret the
18 findings: the size of the estimate, how large it
19 is; and exposure response relationships. And what
20 we mean by that is that the risk of the outcome
21 increases with increasing levels of exposure. The
22 confidence intervals, as I just mentioned, were used
23 just to indicate a precision of the estimates. We
24 did not base our interpretation on statistical
25 significance findings. We analyzed each contaminant

1 separately. And for each contaminant, the unexposed
2 group did not have any residential exposure to the
3 contaminant under consideration. So what I mean by
4 that is, for example, for the PCE analysis, the
5 unexposed group meant that no one had exposure to
6 PCE, but they could have had exposure to another
7 chemical.

8 And we divided the exposed group into four
9 levels, and that was using less than the 50th
10 percentile so less than average, at or above the 50th
11 percentile, at or above the 75th percentile and at or
12 above the 90th percentile. We did that for all the
13 chemicals except benzene. The numbers were too
14 small so there we just used one part per billion as
15 our cutoff. Below that and high or above that. As
16 a sensitivity analysis, when two chemicals were
17 independently associated with the outcome, we put
18 them both in the model to see how that would affect
19 things and to determine what had the stronger
20 association.

21 So what did we find for small for gestational
22 age, the odds ratio for TCE in the highest exposure
23 category during the entire pregnancy was 1.5. We
24 did not observe any exposure/response relationship.
25 As you can see, the levels -- the odds ratios of the

1 lower levels are changing up and down, and at the
2 highest level it's 1.5.

3 For preterm birth we included mother's race in
4 the model, and the odds ratio for the second
5 trimester exposure to the highest category was 1.5.
6 And it was 1.3 for the entire pregnancy.

7 So for term low birth weight the odds ratio for
8 the second trimester exposure to the highest
9 category of TCE was 1.6, and you can see we observed
10 an exposure-response relationship, so with each
11 increasing level of the exposure, the odds ratio was
12 also increasing. It's fine if it stays flat, like
13 1.3 to 1.3, but it's not going lower than 1.3, so it
14 can either be flat and then increase, but it never
15 goes lower and then back up. And the odds ratio for
16 the highest category of exposure to benzene was 1.5,
17 and we consider that exposure-response relationship
18 as well.

19 For mean birth weight and TCE, we included sex
20 of the child, mother's race and parity in the model,
21 and we found a reduced mean birth weight at the
22 highest level of minus 92.9 grams.

23 And as I mentioned to you, when two of the
24 chemicals were both associated with the outcome, we
25 put them in a model to see how that may affect

1 things. So they're both associated with term low --
2 both TCE and benzene were associated with term low
3 birth weight and reduced mean birth weight. We
4 modeled exposures over the entire pregnancy for mean
5 birth weight and the second trimester exposures for
6 term low birth weight because the odds ratios were
7 higher in that trimester compared to the rest of the
8 pregnancy.

9 So for term low birth weight, rates for both
10 contaminants were still increased in this model but
11 their odds ratios at the highest exposure categories
12 were slightly reduced from when each one was just
13 independently in the model. And for mean birth
14 weight, when both of the contaminants were included
15 in the model, there was -- we didn't see any mean
16 birth weight deficit for benzene, and the mean birth
17 weight deficit for TCE at the highest exposure level
18 did increase.

19 So every study has limitations. So just
20 mention what we see here. We were unable to include
21 births to women who were pregnant at Camp Lejeune
22 but who delivered off base. We just were going by
23 the birth certificate data that we had. We did not
24 conduct interviews to obtain more detailed
25 information on residential history or other maternal

1 characteristics. Just want to let you know, though,
2 in order for any risk factor to have a confounding
3 impact on the findings, it needs to be strongly
4 associated with the exposure. Also since drinking
5 water exposures could have occurred all over the
6 base, some mothers categorized as unexposed may have
7 had some drinking water exposure just during their
8 daily activities.

9 **MR. ORRIS:** So Perri, I have a question about
10 this.

11 **MS. RUCKART:** Sure.

12 **MR. ORRIS:** Specifically, my mom likes to tell
13 the story about when I was born in 1974 at the base,
14 and I was born at the base hospital, and the naval
15 doctors screamed at her, no, no, go to Jacksonville.
16 Go to Jacksonville. And she would tell stories all
17 the time about how the Navy did not want you on
18 base. Go to Jacksonville.

19 **MS. RUCKART:** Okay, I should clarify, born on
20 base, I mean the mother lived on base when she had
21 the baby. The baby could have been born in the
22 county hospital but the mother had to reside on the
23 base. So what I mean is if the mother was living at
24 Camp Lejeune at some point during the pregnancy but
25 transferred out of North Carolina, she wasn't living

1 on the base, they weren't included. But those
2 births at the county hospital were included.

3 **MR. ORRIS:** Okay.

4 **MS. RUCKART:** So just to summarize, maternal
5 exposure to PCE was associated with preterm birth
6 that's births born before 37 weeks of pregnancy, and
7 the strongest association was seen during the second
8 trimester. Maternal exposure to TCE was associated
9 with small for gestational age, term low birth
10 weight and reduced mean birth weight. The risk of
11 term low birth weight increased with increasing
12 levels of exposure to TCE during the second
13 trimester. This finding is, for term low birth
14 weight, is consistent with a study in New Jersey.
15 They found the odds ratio of 1.23 and we found 1.6.

16 The finding for SGA, small for gestational age,
17 is consistent with findings from a previous study at
18 Woburn, Massachusetts. That study found an
19 association for small for gestational age and
20 maternal exposure to TCE contaminated drinking water
21 in the third trimester. That study had an odds
22 ratio of 1.6 and we found one in 1.5.

23 Maternal exposure to benzene was also
24 associated with term low birth weight, and you can
25 see an exposure-response relationship with

1 increasing odds ratios at increasing levels. These
2 effects are seen in births during 1968 to 1985 to
3 mothers who were exposed to contaminated water while
4 they were living on base. As mentioned, we could
5 only start the study in 1968 because of the
6 availability of the birth certificate data, but we
7 feel that these results would apply to all mothers
8 who were exposed to similar levels, if they were
9 living at Camp Lejeune during their pregnancy.

10 We did not find any evidence suggesting any
11 other associations between the outcomes and
12 chemicals that we were analyzing here. Because not
13 many studies have evaluated maternal exposures to
14 these chemicals in drinking water and adverse
15 pregnancy outcomes, the studies that are out there
16 are limited and inconsistent. We feel that these
17 results add to the literature and just shed some
18 more light on what's happening. Are there any other
19 questions?

20 **MR. TEMPLETON:** Yeah, this is Tim, I do have a
21 question. I just want to -- it may sound like I'm
22 dumbing it down here but this is -- what you show
23 here is a exposure-response relationship. We can
24 derive from this an exposure response.

25 **MS. RUCKART:** For some of the chemicals.

1 **MR. TEMPLETON:** Right. Correct. Yes.

2 **MS. RUCKART:** And outcome.

3 **MR. TEMPLETON:** I want to make sure Mr. Flohr
4 takes those back to the other folks at VA because
5 there's been several denials that I've seen that say
6 that there is no exposure-response relationship.
7 Here it is. I want to earmark this. I want to
8 underscore it. I want to make sure that this gets
9 back to them because I've seen that phrase used a
10 lot, and it's right here.

11 **MS. FRESHWATER:** I really want to say thank you
12 again for this work. I wish my mother had lived to
13 see this ^, because she, like many women, blamed
14 themselves when they have something go wrong with
15 their pregnancy.

16 And I also want to say that, you know, that I
17 have a lot of hope in the future with our new
18 working relationship, and I think this is a really
19 good example of where we need to -- Corporate
20 America is even starting to talk in terms of using
21 narratives and story-telling. And I think this is
22 where we need to put, put that to work, and make
23 sure that women, when they hear the story of Camp
24 Lejeune, that they can really understand what women
25 were put at risk for, because every woman feels so

1 strongly about their pregnancy and their baby being
2 saved. And if they connect to themselves that just
3 by drinking water they put their babies at risk, I
4 think it will increase awareness and it will help us
5 gain advocacy in the civilian community. So thank
6 you for the work very much. It means a lot.

7 **MR. BRUBAKER:** Any final questions? Are there
8 any updates on the other health studies to share
9 today?

10 **MS. RUCKART:** We started with that.

11 **MR. BRUBAKER:** All right, we're ready to
12 transition to the VA updates, and we're going to
13 take just a moment to re-engage the phone lines, see
14 if our guest...

15
16 **VA UPDATES**

17 **MR. BRUBAKER:** Okay, Brad.

18 **MR. FLOHR:** We were asked by the CAP and by the
19 Senate staff to do a study on breast cancer, both
20 female and male breast cancer, based on reported
21 results in claims. So we have done that. We have
22 not yet drafted a report to send over to the Senate
23 staff. We'll have that next week.

24 But we did complete the review, and we started
25 by going into our database. We have a unique

1 diagnostic code for breast cancer. We also, if
2 someone claims breast cancer or something related to
3 breast cancer, we use a hyphenated diagnostic code
4 with the pulled-up diagnostic code followed by the
5 code for breast cancer. So we asked our database
6 and asked our data staff to pull all of those cases,
7 either with the breast cancer or pulled up
8 diagnostic code including the breast cancer
9 diagnostic code. What we found was 117 claims from
10 males and 89 from females. When we looked at that
11 data, however, only 47 of the claims from male
12 veterans actually had breast cancer. The rest of
13 them were things like gynecomastia, breast lumps,
14 nodes, things like that, but only 47 were actually
15 breast cancer. Females, 16 of -- actually there
16 were 73 of the 89 females actually did have breast
17 cancer. So when we looked at that, we noted that of
18 the claims from male veterans we granted 16 of
19 those, which is 34 percent. Of the females we
20 granted 31, which is 42 percent. So the numbers
21 were much closer than what they have been because of
22 the variance and the non-cancer conditions, which
23 were noted in our database. So that's the report
24 we'll be providing to the Senate staff next week,
25 and we'll certainly provide that to you as well.

1 **MR. PARTAIN:** Brad, what was -- Brad, what were
2 the numbers again? 16 granted for male, 30 --

3 **MR. FLOHR:** 31 -- 16 of 47 for males, 31 of 73
4 for females, 34 percent and 42 percent.

5 **MR. PARTAIN:** Thank you.

6 **MR. ENSMINGER:** Now, are these Camp Lejeune
7 unique?

8 **MR. FLOHR:** Yes, yes.

9 **MR. ENSMINGER:** What about your overall
10 numbers?

11 **MR. FLOHR:** Overall for?

12 **MR. ENSMINGER:** Veterans overall.

13 **MR. FLOHR:** Veterans overall?

14 **MR. ENSMINGER:** For breast cancer.

15 **MR. FLOHR:** For just the breast cancer?

16 **MR. ENSMINGER:** Yeah.

17 **MR. FLOHR:** That, that's it. That's the
18 number.

19 **MR. ENSMINGER:** No, I'm not talking about Camp
20 Lejeune specifically; I'm talking about veterans
21 overall.

22 **MR. FLOHR:** Oh, I -- I don't know.

23 **MR. ENSMINGER:** Where did you get the numbers
24 that you quoted at that meeting where this was this
25 huge disparity?

1 **MR. FLOHR:** That came because, as I said, we
2 had coded as breast cancer things like gynecomastia,
3 breast lumps, things that actually weren't cancer.

4 **MR. PARTAIN:** 'Cause I think back then you were
5 saying you had 51 cases of male and had 51 cases of
6 female. So looks like the male cases drop by four
7 and the female cases increased. The changes in
8 numbers, were more cases found or just improper
9 coding or?

10 **MR. FLOHR:** Improper coding or not improper but
11 just the way we code disabilities. Unfortunately
12 data is not always my favorite thing 'cause when you
13 amass data: two different days will get a different
14 answer. When you've got millions of people in your
15 database, though, that's not hard to understand, I
16 don't think.

17 **MR. TEMPLETON:** My question is here is how were
18 the diagnostic codes arrived at? Were they from the
19 doctor or were they --

20 **MR. FLOHR:** No. No, no.

21 **MR. TEMPLETON:** Was there a doctor and an exam?

22 **MR. FLOHR:** No. VA has a schedule for rating
23 disabilities.

24 **MR. TEMPLETON:** I mean, who associated a
25 particular diagnostic code with a claimant?

1 **MR. FLOHR:** Claims processors.

2 **MR. TEMPLETON:** So it's the claims processor
3 that did it; it wasn't a doctor?

4 **MR. FLOHR:** No.

5 **MR. TEMPLETON:** So --

6 **MR. FLOHR:** Okay, we have -- again, we have a
7 rating schedule. We have 15 body systems, and there
8 are about 800 unique diagnostic codes in those 15
9 body systems. Arthritis is diagnostic code 5003.
10 If someone has arthritis, that's the code assigned
11 to that disability. There's a certain code assigned
12 for breast cancer.

13 **MR. TEMPLETON:** So let me take that example,
14 the arthritis for example. The difference between
15 rheumatic arthritis, rheumatoid, and --

16 **MR. FLOHR:** There are, there are --

17 **MR. TEMPLETON:** -- and reactive --

18 **MR. FLOHR:** -- they have -- yeah, there's a
19 different code for rheumatoid arthritis --

20 **MR. TEMPLETON:** And reactive?

21 **MR. FLOHR:** -- and osteoarthritis.

22 **MR. TEMPLETON:** Is reactive in there, reactive
23 arthritis?

24 **MR. FLOHR:** I don't recall off the top of my
25 head.

1 **MR. TEMPLETON:** I, I do know that there's some
2 illnesses that do not have a code.

3 **MR. FLOHR:** A lot of them.

4 **MR. TEMPLETON:** So here's where I'm kind of
5 getting to on the question here is, who's assigning
6 those codes, and is it possible that maybe they
7 improperly are assigning the codes here, and maybe
8 that may be an issue with the numbers; is that
9 possible?

10 **MR. FLOHR:** It's possible. I mean, it's the
11 person who makes the decision on the claim that
12 assigns the code on the rating code sheet.

13 **MR. TEMPLETON:** I just want to understand it
14 better here, because there is a difference in the
15 numbers, and I've seen a little, I wouldn't
16 necessarily call it a trend, but I have seen at
17 least a few cases where the diagnostic code didn't
18 match between what VA said and what the patient's
19 doctor said.

20 **MR. FLOHR:** Well, patients' doctors normally
21 use ICD codes; we do numbers.

22 **MR. TEMPLETON:** So what's -- if you could, just
23 give me a little bit of a difference there between
24 the two description --

25 **MR. FLOHR:** They're totally different.

1 **MR. TEMPLETON:** -- not only the difference --
2 you said they're totally different.

3 **MR. FLOHR:** You said the unique, unique
4 diagnostic codes; there's about 800 throughout the
5 rating schedule.

6 **MR. TEMPLETON:** How would they medically
7 compare?

8 **MR. FLOHR:** They don't.

9 **MR. TEMPLETON:** They don't compare at all.

10 **MR. FLOHR:** ICD-9 codes are usually -- they're
11 used for billing purposes.

12 **MR. TEMPLETON:** Correct, right.

13 **MR. FLOHR:** That's the intent of that. And
14 they assign a code for a medical procedure, an
15 x-ray.

16 **MR. TEMPLETON:** Sure.

17 **MR. FLOHR:** Things like that. We do not. We
18 identify diseases and disabilities through a
19 four-digit number. It has nothing to do with
20 medical billing or anything like that.

21 **MR. TEMPLETON:** It seems to me that, because of
22 that, there may be a gap, and there's gaps.

23 **MR. FLOHR:** I don't think so.

24 **MR. TEMPLETON:** Okay.

25 **DR. BREYSSE:** There is an ICD-9 code for male

1 breast cancer.

2 **MR. FLOHR:** I'm sure there is.

3 **DR. BREYSSE:** And so are there cases where a
4 healthcare provider assigned an ICD-9 code for male
5 breast cancer but then the VA assigned a different
6 code?

7 **MR. FLOHR:** No, VHA does use ICD codes, 'cause
8 that's -- they see veterans, they treat veterans,
9 and so they use the ICD codes. The VBA, in making
10 decisions on claims, though, we have a, like I said,
11 a unique rating schedule with unique diagnostic
12 codes.

13 **DR. BREYSSE:** You have a code for male breast
14 cancer, right?

15 **MR. FLOHR:** Yeah.

16 **DR. BREYSSE:** Are there cases where an ICD-9
17 code appears in a person's medical record that a VA
18 claims adjustor would assign a different code?

19 **MR. FLOHR:** No.

20 **DR. BREYSSE:** And do these claims adjustors --

21 **MR. FLOHR:** The claims processors.

22 **DR. BREYSSE:** -- claims processors, do they
23 base their code assignment on a medical records
24 review?

25 **MR. FLOHR:** No, no. They base it on what we

1 have in our rating schedule. The code in the rating
2 schedule for breast cancer or arthritis or lung
3 cancer.

4 **MR. ENSMINGER:** So it is a different number.

5 **MR. FLOHR:** Well, it's not an ICD number. No,
6 I said we do not use ICD numbers in the rating
7 schedule. Never have.

8 **MR. ENSMINGER:** Why do you complicate things?

9 **MR. FLOHR:** It's not my --

10 **MR. ENSMINGER:** Why, why -- I mean, why do
11 you --

12 **MR. FLOHR:** -- it's easy for us. It's easy for
13 our claims processors to understand.

14 **MR. ENSMINGER:** Why don't you just use the code
15 that the doctors put in there and use that?

16 **MR. FLOHR:** Again, Jerry, they assign codes for
17 x-rays. That doesn't mean anything to us.

18 **MR. ENSMINGER:** We're not talking about x-rays;
19 we're talking about diseases. I mean, you don't
20 have to use the x-ray code or the IV code or
21 whatever. But use the, use the medical code for the
22 ailment and be done with it. You're creating a
23 whole new --

24 **MR. FLOHR:** We're not creating it. It's been
25 that way since 1933.

1 **MS. MASON:** Well, it's antiquated.

2 **MR. ENSMINGER:** Who's that?

3 **MS. MASON:** Sharon Mason. I'm listening. I'm
4 a nurse and I know a lot about the ICD codes, and
5 the government doesn't use it because their systems
6 are different, and it's very antiquated.

7 **MR. ENSMINGER:** Okay, okay, okay. Please don't
8 chime in on the line.

9 **MS. MASON:** Yes, sir.

10 **MR. TEMPLETON:** Well, I guess what I was kind
11 of -- what I had gathered where I was going with
12 this -- you probably see where I'm at, but is it
13 that you had several that were male breast cancer to
14 begin with, but then some of them dropped off of
15 being male breast cancer 'cause they were coded to
16 something different. And I was curious what -- who
17 did the coding?

18 **MR. FLOHR:** They were not coded to something
19 different. If someone claimed -- there were claims
20 for breast cancer from both males and females.

21 **MR. TEMPLETON:** Okay.

22 **MR. FLOHR:** It wasn't a case at all. But that
23 was the claim. So when we decide the claim, we
24 assign our diagnostic code for breast cancer, but we
25 would build -- okay, breast cancer, let's take for

1 example, it's -- and I don't know if I'm right,
2 7646; I don't know. If someone claimed cancer, and
3 they had gynecomastia, we would assign 7699-7646,
4 7699 meaning it's billed on code ^ but that's what
5 they claimed. And in order for us to determine
6 claims, we need to have that diagnostic code if we
7 want to gather -- pull data out of our database.

8 **DR. BREYSSE:** But how do you know it's
9 gynecomastia?

10 **MR. TEMPLETON:** Right. How do you know?

11 **MR. FLOHR:** Well, that's because a doctor would
12 say that's what it is.

13 **DR. BREYSSE:** So it does go back to a medical
14 record of some kind.

15 **MR. FLOHR:** Well, of course we would need
16 medical records. Of course we do examinations.

17 **DR. BREYSSE:** Well, I asked a minute ago if it
18 was based on any kind of medical records, and --

19 **MR. FLOHR:** No, of course, if you asked that, I
20 didn't understand what you meant. When someone
21 files a claim, we get an examination, request a
22 medical opinion, whatever's necessary. We review
23 private medical records. Of course.

24 **MR. TEMPLETON:** I just personally I'd like to
25 say that I see a bit of an issue here with the

1 recoding. And I think it's found its way into other
2 areas. That's just my suspicion.

3 **MR. ENSMINGER:** Are you done?

4 **MS. FRESHWATER:** I have a question. Can you
5 tell me what the committee on contaminated drinking
6 water at Camp Lejeune is?

7 **MR. FLOHR:** I do not know. I've not heard
8 that.

9 **MS. FRESHWATER:** It is appearing in the claim
10 denials.

11 **MR. FLOHR:** I'm not aware of that.

12 **MR. ENSMINGER:** I'll tell you what it is. It's
13 the NRC report.

14 **MR. TEMPLETON:** Yeah, there's been several
15 denials that we've seen, and coming back from them,
16 it says that it's citing, according to the
17 committee -- the Camp Lejeune committee on
18 contaminated drinking water, and it uses it in caps,
19 like it's a title, that this is a formal group of
20 some kind. And so that's why we're very surprised
21 that you haven't heard of it.

22 **MR. FLOHR:** Well, of course I've heard of the
23 NRC report but I have not heard it --

24 **MS. FRESHWATER:** But why are we calling it the
25 committee on contaminated drinking water at Camp

1 Lejeune?

2 **MR. FLOHR:** Lori, I have no idea.

3 **MR. ENSMINGER:** Okay. Up on the screen, Brad,
4 is your actual website, the VA's website for Camp
5 Lejeune research and studies, okay? Who's operating
6 this thing?

7 **MR. PARTAIN:** Nobody.

8 **MR. ENSMINGER:** Yeah, well, you need to -- go
9 to the PERC, PCE, click on that, please. September
10 of 1997. That's what you've got up there for the
11 most recent information on PCE on your current
12 website, okay? Let's back out of that and go to
13 TCE. July 2003. I mean, it was declared a known
14 human carcinogen on 20 September 2013.

15 **MR. FLOHR:** Yeah, I'm aware of that.

16 **MR. ENSMINGER:** Okay, let's back out of that.
17 Let's get to the last sentence in that paragraph.
18 Right there. The duration and intensity of
19 exposures at Camp Lejeune are unknown. The
20 geographic extent of contamination by specific
21 chemicals also is unknown. This is where I come
22 back to you and the VA, and I ask the question, is
23 somebody just lazy or is this intentional? And I
24 ask the question, does the VA accept ATSDR's work as
25 scientifically valid?

1 **MR. FLOHR:** Absolutely.

2 **MR. ENSMINGER:** Then why isn't it up here?

3 **MR. FLOHR:** I don't know but I'll take that
4 back and have a discussion about it.

5 **MR. ENSMINGER:** And I know that you like to try
6 to put this imaginary wall between VBA and VHA.

7 **MR. FLOHR:** There's no imaginary wall. But we
8 have separate responsibilities.

9 **MR. ENSMINGER:** You have separate
10 responsibilities but you're relying on medical
11 people to give you advice or -- yeah, advice, and
12 then to take the claims evaluation process.

13 **MR. FLOHR:** Yes.

14 **MR. ENSMINGER:** Your subject matter experts are
15 not subject matter experts, Brad.

16 **MR. FLOHR:** Well, they're -- they may not --

17 **MR. ENSMINGER:** They're not working -- they're
18 not working off of the most recent data. And you
19 sent me an email and said, although the last
20 training letter from VA was issued on 29
21 November 2011, you currently have everything you
22 need to legitimately adjudicate veterans' claims for
23 Camp Lejeune. No, you don't. They don't. They
24 don't even have the most up-to-date information.
25 These studies -- the water model was issued in March

1 of 2013, and other studies that ATSDR has conducted,
2 the mortality studies, that all came out since then.
3 There has not been a new training letter. How are
4 these people supposed to have this information if
5 you don't give it to them? That is my point, Brad.
6 I mean --

7 **MR. FLOHR:** Jerry, I will take this back.
8 There obviously needs to be some further training,
9 some updating to that; we'll get that done. And
10 I've spoken to Dr. Cross about citing the NRC
11 report. He agrees we should not. And this is a
12 matter of training our subject matter experts.

13 **MR. ENSMINGER:** And yet you told me that
14 Dr. Walters' training PowerPoint had nothing to do
15 with VBA.

16 **MR. FLOHR:** That's correct.

17 **MR. ENSMINGER:** But you were at that training,
18 and these clinicians that are being relied upon to
19 become involved in whether or not these veterans'
20 claims are approved or denied are also being
21 tasked --

22 **MR. FLOHR:** My role -- my role in those two
23 meetings was to explain, give them information on
24 the claims process, and tell, and tell them how
25 important it is that medical opinions are well

1 rationed and give us what we need to make the
2 decision.

3 **MR. ENSMINGER:** But what I'm trying to say is
4 these clinicians that did receive that training, you
5 can see that training PowerPoint in the language and
6 verbiage that was used in it in these decisions.

7 **MR. FLOHR:** That's not for -- that PowerPoint
8 has nothing to do with medical benefits and
9 eligibility. That's all of us.

10 **MR. PARTAIN:** Brad, going back to the website
11 here, at the bottom, the National Research Council
12 comes up over and over again. And at the top of
13 this website, and Jerry was talking about this
14 earlier, you know, the studies are currently being
15 conducted by the Agency for Toxic Substance, and you
16 actually have it leading off to the right, the
17 studies by ATSDR. But yet these studies are done;
18 they're out. There's no -- nothing we've discussed
19 about these studies on this page here but yet when
20 you look down at the -- you know, at the paragraph
21 in 2009 the National Research Council published a
22 report on contaminated water supplies at Camp
23 Lejeune, the report concludes, concludes, that the
24 available scientific evidence does not provide
25 sufficient... I mean, this is the same language

1 we're seeing in these denial letters over and over
2 and over again. I've got --

3 **MS. FRESHWATER:** And in the press.

4 **MR. PARTAIN:** -- two right here.

5 **MS. FRESHWATER:** And in the press.

6 **MR. PARTAIN:** Yeah, and I've got two in my
7 folder for male breast cancer that are citing the
8 NRC report or the, you know, committee on Camp
9 Lejeune drinking water or the national regulatory
10 council, whatever they decide to call this, you
11 know, the NRC report, each shows up in these denials
12 over and over again. But the ATSDR's work is not
13 showing up in these denials; it's not being
14 addressed in the denials; it's not addressed on your
15 website -- well, not your website but the VA's
16 website, okay?

17 The information's there and what Jerry's
18 saying, the subject matter experts are not looking
19 at this. There's no indication that they're looking
20 at this material. Just like in the denial letters,
21 where, you know, they -- your reviewers are saying,
22 well, you know, we've looked over everything.
23 There's no indications of the meta analysis being
24 done to support a successful claim, but yet, like I
25 mentioned, we've got the EPA; we've got IARC, and I

1 believe the national -- Dr. Cantor was talking about
2 the national toxicology -- I can't even say that.

3 **DR. CANTOR:** NTP.

4 **MR. PARTAIN:** NTP, thank you, is coming out
5 with, with findings on TCE. The body of science
6 seems to be well ahead of the VA, and the VA is
7 several years behind. And it's coming at the
8 detriment to the veterans who served this country,
9 and it needs to be addressed sooner than later.
10 Unfortunately Dr. Walters' PowerPoint supports what
11 we're seeing in the denials, and that needs to be
12 addressed sooner than later. And that's where we're
13 at right now.

14 You know, you said at the beginning, you know,
15 that the -- I guess you were told that the VA's
16 website is up-to-date. That's not up-to-date.

17 **MR. FLOHR:** No, I would say it's not.

18 **MR. PARTAIN:** And that's what's available to
19 the public.

20 **MR. FLOHR:** We did -- it does have a link
21 though to ATSDR studies there, correct?

22 **MR. PARTAIN:** Yeah, but you put a link to the
23 ATSDR studies but at the bottom, in a paragraph
24 form, you cite the -- not you but the VA cites, the
25 National Research Council concludes. Well, ATSDR's

1 had four conclusions, five counting the water model.

2 **MR. ENSMINGER:** The key word in that paragraph,
3 though, which is not ^, is the word, the report
4 concludes that available scientific evidence. That
5 study was done from 2007 and issued in June of 2009.

6 **MR. FLOHR:** I agree. You know, there's no
7 question. I agree with that. And I will -- I've
8 had discussions with Dr. Cross already about it.
9 Using the NRC report and making decisions, he agrees
10 which should not be cited, and we will do something
11 about that.

12 **MR. PARTAIN:** I mean, you put up Dr. Portier's
13 2010 letter.

14 **MR. TEMPLETON:** I'd like to follow on issue,
15 real quick on SME, and I have an example that I'd
16 like to throw out there. There was a Marine that I
17 spoke with who shared with me in his denial. In
18 that denial he had, you know, had immune
19 deficiencies, right? They sent his claim to be, to
20 be adjudicated by an examiner, and the examiner was
21 an SME. They were -- it showed on the denial
22 paperwork that they were supposedly an SME. Took a
23 look at their credentials to see whether they had
24 internal medicine, infectious disease, something
25 that would have to do with immune deficiency. No,

1 family practice. Family -- I -- people with immune
2 deficiencies don't go to normal family practice.
3 They have to go to someone that has an understanding
4 of internal medicine and infectious disease. So
5 how, how did that happen? That's not -- that is
6 just one example I wanted to throw out there. I've
7 seen others. And so that SME part of it seems to
8 fall short of where, where at least I, as a
9 layperson, would think it should be.

10 **MS. FRESHWATER:** So just want to go back to the
11 committee that we were talking about earlier, Brad.
12 So this is directly off of the denial case-specific
13 discussion. The committee on contaminated drinking
14 water at Camp Lejeune has not determined a link
15 between exposure to TCE, PCE and the development of
16 common variable immunodeficiency. I would like to
17 put in as formal of a strongly worded request that
18 we know exactly who this committee is and that we
19 are told what part they play in the decision-making,
20 because it says here on your denial that they, they
21 have made the determination. So I want to know who
22 this committee is that's making the determination.

23 **MR. FLOHR:** What, what?

24 **MS. FRESHWATER:** That's what I'm asking. It's
25 called the committee on contaminated drinking water

1 at Camp Lejeune.

2 **DR. BOVE:** That's the NRC.

3 **MR. FLOHR:** That's the NRC report.

4 (multiple speakers)

5 **MR. FLOHR:** It's not a committee that exists at
6 the VA.

7 **DR. BOVE:** Let me ask you something, Brad.

8 **MR. FLOHR:** Okay.

9 **DR. BOVE:** I don't think I'm out of line; I
10 don't know. But if you're going to conclude --
11 present this -- our studies, that's not our
12 conclusion I see up there. A small number of cases
13 in the study did not show any firm conclusion. This
14 is your interpretation of our studies. If you're
15 going to do that, that's fine, but it would be nice
16 if you would also put our conclusion up there and
17 quote it. 'Cause that's not what we say in the
18 abstract or in the conclusion of this study.

19 **MR. ENSMINGER:** That's because that's not your
20 study.

21 **DR. BOVE:** And I think that's -- you know, it's
22 very important that if you're going to describe our
23 studies, your editorial comment is fine, if you
24 want; I can't argue -- every interpretation is --
25 you know, we can all differ on that, but at least it

1 would be nice if you presented what we actually say
2 in the general article.

3 **MR. FLOHR:** That would make sense.

4 **MS. FRESHWATER:** And I guess what I'm trying to
5 say is, you know, the way this is worded, it makes
6 it sound -- it makes it sound to the veteran like
7 there's, you know, something that is not. So put
8 NRC in there. You know what I mean, unless there is
9 somebody else that's on this committee.

10 **MR. FLOHR:** We're not putting NRC in there.

11 **MS. FRESHWATER:** But put -- be honest you know,
12 instead of hiding behind this kind of committee
13 title, is what I'm saying.

14 **MR. FLOHR:** I've never heard of that title.

15 **MR. TEMPLETON:** I have a question real quick,
16 just housekeeping sort of thing. Is there going to
17 be any questions or ability to speak on the topic of
18 VHA, since we don't have anyone from VHA here or?
19 Because there's a couple VHA matters that we were
20 prepared to discuss.

21 **MR. BRUBAKER:** Without a representative we have
22 the option of completing with the VA first. We have
23 a little bit of time before lunch. We also have CAP
24 concerns directly after lunch.

25 **MR. SMITH:** If Chris will give me a second; I

1 know he's been wanting to speak, but if you'll just
2 give me one second. I'm pretty much concerned with
3 the civilian side, because my father was a civilian
4 DOD on the base for years, so but my background is
5 marketing, messaging, that sort of thing, and I
6 think this gets in the heart of the messaging in two
7 senses. Number one, the messaging is not correct
8 when they arrive, but I guess my concern, just from
9 reading an email as well, I guess my question is,
10 how does the VA conduct research -- or actually not
11 research but reaching out to veterans about this
12 information? What's the frequency? Do you know --
13 how many people do you reach? And then -- because I
14 know you might have mentioned that there may have
15 only been 15,000 claims, but, you know, is that for
16 lack of, I guess, to the lack of them understanding
17 and then when -- or hearing anything about it, and
18 then when they do come to this website, they rule
19 themselves out based on this information, because,
20 as I go through the community, I meet people daily
21 that either have not heard about it or when they do
22 hear about it do not know where to go, and then when
23 they do find out where to go, they read this
24 information, and then they go, oh, well, not me.
25 And that seems to be how it plays out. So I'm

1 just -- I'm curious as to just how many people the
2 VA reaches out to in the service and the frequency,
3 and what they hear?

4 **MR. FLOHR:** I'm not aware of any numbers. You
5 know, the Navy reached out to everybody they could
6 identify that was at Camp Lejeune, and sent them a
7 letter. Jerry and of course there were
8 documentaries on TV. The information's out about
9 Camp Lejeune, I think. We did reach out to people
10 in the healthcare eligibility. I don't know the
11 numbers. Again, that's the VHA. But I do have some
12 information about that as well I want to provide to
13 you. Other than that, you know, VHA does research
14 but the types -- and who all's involved. Now, I'm
15 not sure it's on Camp Lejeune. We do research on a
16 lot of different things.

17 **MR. SMITH:** I guess my concern, again, goes
18 back to the messaging because if it is up to the
19 military and to the Department of the Navy, for
20 example, their website, they have information that's
21 for both civilians and veterans can access. One of
22 the things I found is a 2012 document that's a
23 pamphlet that also references the NRC and also
24 mentions that according to the latest studies
25 there's no information or any connections, and that

1 sort of thing. So it seems like the same sort of
2 messaging, the same sort of information that's
3 problematic here is also problematic throughout.

4 **MR. PARTAIN:** Brad, I want to just take a
5 second. Ralph Berking (ph), who is a male breast
6 cancer survivor, Camp Lejeune veteran, and was
7 denied March of last year, after an appeal, okay,
8 sent me a notice. He says, I almost quit trying,
9 almost gave up. The state of the case they sent me
10 is so depressing. And the reviewer noted in the
11 denial, didn't come out and state it, I'm
12 hypothesizing that it's the NRC report, but the
13 quote -- the examiner noted that the only definitive
14 studies that have been formed regarding this type of
15 exposure do not recognize a casual (sic) link
16 between the drinking water and development of breast
17 cancer. And he's referring to these exposures at
18 Camp Lejeune. The only -- that the only definitive
19 studies. I mean, what are we talking about? I
20 mean, and this is this guy's denial.

21 **MR. FLOHR:** I don't have that. Was that from
22 the Board of Veterans' Appeals?

23 **MR. PARTAIN:** I'll bring it to you. In fact
24 I'll show you. It's his denial, and they had that
25 in there for -- it's under reasons or basis for his

1 denial.

2 **MR. FLOHR:** Okay.

3 **MR. ORRIS:** Brad, I want to point out to you
4 that I had a very detailed conversation with
5 Dr. Walters regarding the Camp Lejeune family member
6 program and the application process for that and how
7 flawed that process is. One of the topics we had
8 brought up, and I asked Sheila to pull it up here on
9 the screen, this is the form that you're asking
10 family members to fill out when they apply for
11 benefits through the VA. I'd like to scroll down to
12 the drug abuse, alcoholism or alcohol abuse, testing
13 for infection with HIV and sickle cell anemia, and
14 ask you what on earth any of that has to do with
15 Camp Lejeune family member benefits?

16 **MR. FLOHR:** I don't know. That's a standard
17 language that we use, because there are statutory
18 provisions which do not allow us to release that
19 kind of information without express consent.

20 **MR. ORRIS:** Then November, I was told that this
21 form would be pulled down and that an appropriate
22 medical release form would be put up. There is no
23 possibility that a civilian or a dependant can fill
24 out this form with any kind of success. And I would
25 also like to know how many family members have

1 applied for benefits --

2 **MR. FLOHR:** I have that information.

3 **MR. ORRIS:** -- and how many have been approved
4 and how many have been denied.

5 **MR. FLOHR:** I have that information. As you
6 know, the regulations for veterans -- we started
7 treating veterans from the day the law was passed.
8 Family members are a different story because we had
9 no prior history of treating family members for
10 anything, and no way to do that without regulations;
11 they were lengthy. Regulation process is lengthy,
12 and we're all aware of that.

13 So far 156 family members have applied to this
14 program. It's too new as of this time to have any
15 statistics on who has been approved or denied
16 admission so we don't know that at this time. We
17 are required by law, however, to provide this
18 information to Congress each year.

19 16,320 veterans have applied for the Camp
20 Lejeune program as of September 30th of 2014; 13,372
21 have been accepted into the Camp Lejeune program as
22 of December 30th; 2,816 veterans reported at least
23 one of the 15 covered conditions; and 1,231 have
24 been treated by the VA for a Camp Lejeune condition
25 under the law. That's the latest data we have.

1 **MR. ORRIS:** So would it be safe to assume that
2 the VA's denying people like they denied me, which
3 is simply to state that you don't have one of the --

4 **MR. FLOHR:** I have no information.

5 **MR. ORRIS:** That's, that's what I received.

6 **MR. FLOHR:** It's too new. It's --

7 **MR. ORRIS:** I received --

8 **MR. FLOHR:** -- it's going through --

9 **MR. ORRIS:** -- I received a
10 I'll-be-put-on-a-shelf and not a denial. So I would
11 suggest that you're fudging the numbers.

12 **MR. FLOHR:** I would suggest that we are not.

13 **MR. TEMPLETON:** Well, since he went to that
14 topic, there have been several Marines that I have
15 spoke to that have applied through the VHA for
16 treatment so far that have been denied. They just
17 came back and said that they were denied. I'm not
18 going to use my own case but there were a couple of
19 others that they don't know why they were denied.
20 They just sent --

21 **MR. FLOHR:** Did they have one of the 15
22 conditions listed in the law?

23 **MR. TEMPLETON:** No.

24 **MR. FLOHR:** Well, then they would not be
25 eligible for care.

1 **MR. TEMPLETON:** They would not?

2 **MR. FLOHR:** No. You have to have one of the 15
3 conditions in the law to be treated for --

4 **MR. TEMPLETON:** That's not true.

5 **MR. ENSMINGER:** Yeah, it is.

6 **MR. PARTAIN:** It is.

7 **MR. FLOHR:** Absolutely true.

8 **MR. ENSMINGER:** It's in the law.

9 **MR. TEMPLETON:** No, you can apply to -- for
10 veterans for VHA. All it asks is that you were
11 there for 30 days.

12 **MR. ENSMINGER:** No, you have to demonstrate one
13 of the 15 conditions in the law. That's in the law.

14 **MR. PARTAIN:** Yeah, it's in -- you know. It's
15 right here in their flier, Tim.

16 **MR. ORRIS:** I would suggest that you don't ever
17 see the 120 applications for family member benefits
18 because you don't even have the current forms on the
19 website.

20 **MR. FLOHR:** 156.

21 **MR. ORRIS:** 156 out of how many estimated? I
22 noticed that you were estimating 3,000 per unit.

23 **MR. FLOHR:** I did not estimate that. I have no
24 information on that level.

25 **MR. ORRIS:** That was in the comment section,

1 that you estimated 3,000 per unit total man hours.

2 **MR. FLOHR:** I don't know where that came from.
3 The other thing about it is we did ask for two of
4 the conditions listed on -- in the law are neural
5 behavioral effects and hepatic steatosis. No one
6 really knows what that covers, and we asked NRC --
7 not the NRC, we asked IOM to provide us with exactly
8 what they mean by those conditions so we know who we
9 can treat and be sure we don't miss anyone when they
10 have something like that. The report is scheduled
11 to be released in March and I'm looking forward to
12 getting that.

13 **MS. STEVENS:** Brad, could you repeat the two
14 conditions?

15 **MR. FLOHR:** Yes, neural behavioral effects and
16 hepatic steatosis.

17 **UNIDENTIFIED SPEAKER:** Also known as fatty
18 liver.

19 **MR. FLOHR:** Also known as fatty liver, yes.

20 **MS. FRESHWATER:** And just to say, this is one
21 of the reasons I'm so anxious to get Dr. Sheridan
22 here. They need a toxicologist because the newest
23 research is showing that exposure to toxins creates
24 inflammation, and inflammation is being linked to
25 autism science, not, you know, not hooey. So these

1 things are going to be important in the future so I
2 think getting this expert involved is really
3 important. So I'm going to throw that in again
4 'cause I can't stop beating a dead horse.

5 Brad, I have a question that I promised a
6 Marine who lost his wife that I would ask you.
7 He needs the statistical evaluation of how well
8 Louisville is doing with approving and disapproving
9 veterans' claims. He says he has the last three of
10 those that have been published by different sources
11 but there's a lot of doubt as to the correctness of
12 the numbers in the disapprovals and approvals. So
13 he would like to have some transparency and to have
14 an update.

15 **MR. ENSMINGER:** Whenever you have a subject
16 matter expert cited in a denial or -- yes, a denial
17 through the VBA, why don't you cite the name of this
18 subject matter expert? I mean, this person is
19 involved in making a big decision on somebody's
20 life. Why are not these subject matter experts
21 named? I mean, if they're a subject matter expert,
22 then they shouldn't have a problem with their name
23 being out there. I mean, these people work for the
24 government, for God's sake, and they're making the
25 decision. Their name should be there. Do you agree

1 or disagree?

2 **MR. FLOHR:** The names are in the veterans'
3 claims file.

4 **MR. ENSMINGER:** They're not cited in the --

5 **MR. FLOHR:** Not in the decision, no. We've,
6 we've never done that.

7 **MR. ENSMINGER:** Well, how does, how does
8 somebody find out who this SME is, subject matter
9 expert?

10 **MR. FLOHR:** You could look in the claims file
11 or you could ask. I doubt that they would -- you
12 know, they could contact them individually.

13 **MR. ENSMINGER:** Well, I'm not talking about
14 contacting them; I'm talking about vetting them to
15 find out just what kind of subject matter expert
16 they are.

17 **MR. FLOHR:** We've got a request, I think, for
18 some kind of information like that, and I believe
19 our FOIA officers held that that was an invasion of
20 privacy.

21 **MR. ENSMINGER:** But it's not. These people are
22 making decisions for the federal government. They
23 are employees of the government.

24 **MR. FLOHR:** I am not aware --

25 **MS. FRESHWATER:** And we have examples where

1 names have been given, so if some names have been
2 given, then why can't all of the names be given?

3 **MR. PARTAIN:** Well, Brad, the subject matter
4 experts are overriding letters written by doctors.
5 I've got a claim that I got yesterday for male
6 breast cancer where the physician wrote a letter in
7 support of the veteran. And the subject matter
8 expert basically discounted it, said that his
9 opinion mattered more and denied the claim. But
10 we -- you know, without the name, without the
11 qualifications, who do we know who this is? I mean,
12 do we have a general practitioner overruling an
13 oncologist? I mean --

14 **MR. ENSMINGER:** I mean, they're -- without
15 naming these people and giving their title, your
16 subject matter experts, for all I know, could be the
17 janitor or it could be Alfred E. Neuman.

18 **MR. FLOHR:** You know, I don't know. I can't
19 answer that. They don't work for me. I don't
20 really know who they are myself. So all I know
21 they're identified by VHA as occupational
22 environmental health specialists.

23 **MR. ENSMINGER:** Well, you know, it's really
24 scary when I look a decision and they're citing
25 something that's supposed to be factual, which is

1 the 2009 NRC report, and they get the date wrong and
2 they misspell the word council.

3 **MR. FLOHR:** I think we've discussed that. I
4 will take that back, do what we can about it.

5 **MS. FRESHWATER:** So can we go -- Jerry, is this
6 something that we need to take up with Congressional
7 representatives?

8 **MR. ENSMINGER:** I've already done that.

9 **MS. FRESHWATER:** So but it's something that the
10 rest of us should also take up with our
11 Congressional representative?

12 **MR. ENSMINGER:** Oh, absolutely.

13 **MR. PARTAIN:** Every veteran should.

14 **MS. FRESHWATER:** So I would say to everyone
15 listening, demand that the subject matter experts
16 are named, and if not, that there's some sort of
17 process for finding -- for some sort of transparency
18 because this is like -- this is people's lives. And
19 I agree, I think -- you know, I think the reason,
20 our families deserve to know who's deciding whether
21 they get care or not. So everyone needs to contact
22 their representatives.

23 **MR. ENSMINGER:** And, you know, when these
24 people accept their paychecks every month or every
25 two weeks, whatever your pay schedule is, they give

1 up their privacy to keep their name hidden on
2 decisions that they're making about somebody else's
3 life. So just food for thought.

4 **MR. ORRIS:** Also going back to the family
5 member program, isn't the VA in effect asking
6 civilian doctors to make a determination of whether
7 exposure causes the illness --

8 **MR. FLOHR:** No.

9 **MR. ORRIS:** -- in the family member?

10 **MR. FLOHR:** No.

11 **MR. ORRIS:** I would disagree based on that,
12 what the process that it's gone through.

13 **MR. FLOHR:** There are 15 listed conditions in
14 the law --

15 **MR. ORRIS:** Correct.

16 **MR. FLOHR:** -- allowing treatment for veterans
17 and dependants.

18 **MR. ORRIS:** Correct.

19 **MR. FLOHR:** If you don't have one of those,
20 you're not going to get treated.

21 **MR. ORRIS:** But you've asked for a civilian
22 physician to sign off on whether or not that
23 exposure, that illness, was caused by exposure to
24 the water at Camp Lejeune.

25 **MR. FLOHR:** No, that's presumptive. There's no

1 reason --

2 **MR. ORRIS:** It's on the website.

3 **MR. FLOHR:** No. No, no such thing.

4 **MR. BRUBAKER:** Final questions, comments for
5 Brad? Hearing none --

6 **MS. FRESHWATER:** Thank you for showing up and
7 taking all the heat by yourself, which I think
8 somebody should answer for the fact that you had to
9 do that.

10 **MR. FLOHR:** I'll let them know how happy I was.

11 **MR. BRUBAKER:** We're about to break for lunch.
12 Tim, I think we'll handle your questions about VHA
13 during CAP concerns. We'll break for lunch and we
14 reconvene at 1:15.

15 (Lunch break, 11:55 a.m. till 1:15 p.m.)

16

17 **CAP UPDATES AND CONCERNS**

18 **MR. BRUBAKER:** Next item on the agenda is CAP
19 updates and concerns. And Mike, would you like to
20 go first?

21 **MR. PARTAIN:** Okay, well, kind of at the tail
22 end of what we were talking about with Brad
23 concerning the VA. Yesterday we had a meeting with
24 Dr. Breyse and Dr. Frieden from the CDC, who was
25 gracious to come down and spend some time with the

1 CAP. And as part of the meeting, and we asked, and,
2 you know, we've been asking, discussing this for
3 quite some time now that ATSDR put together the
4 studies that have been completed and provide, you
5 know, their interpretation of what these studies
6 mean to both the VA and Congress, and, you know,
7 this discussion we had about, before lunch,
8 concerning what's going on with the VA and the
9 veterans who are trying to get their claims passed
10 through to Camp Lejeune really is a case in point of
11 why that needs to be done. And Dr. Frieden and
12 Dr. Breyse both graciously agreed to undertake
13 that, and we appreciate that.

14 But, you know, I wanted to point this out
15 because, you know, what we're seeing in denials.
16 And where the VA is at, there is a disconnect
17 between what ATSDR's done with Camp Lejeune, the
18 studies that have been completed and what the VA is
19 doing. And hopefully we can get that accomplished.
20 So I just wanted to point that out in context.

21 **MS. FRESHWATER:** I have just one more thing
22 also. Can you give us -- you said you were going to
23 take the website information back. Can we get a
24 timeline? Like could you say -- could we just
25 get -- because what happened the last time was the

1 different people who were here said we're going to
2 take that back, and then you came back and said,
3 they said that there's nothing wrong with it. But
4 some of that evidence that we presented today was
5 actually shown. So can you give us something a
6 little more concrete like?

7 **MR. FLOHR:** I wish I could, Lori, but it's not
8 my website; I can't change it. I can only take it
9 back and talk to the people who are doing it.

10 **MS. FRESHWATER:** But are they going to tell you
11 that it's okay again, and then are you going to say,
12 okay, I'll go and tell them it's okay?

13 **MR. FLOHR:** I hope not. I will take that
14 higher if need be.

15 **MS. FRESHWATER:** That -- that's reassuring,
16 'cause I hope you fight for that, you know, 'cause
17 it's -- a lot of the veterans don't know we exist.
18 They don't even know --

19 **MR. FLOHR:** It's pretty clear to me that it
20 needs updating.

21 **MS. FRESHWATER:** And if you do have any
22 updates, if you could just, you know, shoot us an
23 email, that would be great.

24 **MR. FLOHR:** All right.

25 **MS. FRESHWATER:** Thank you.

1 **MR. ORRIS:** I would also like to kind of follow
2 what Mike has just talked about. There's a lot of
3 body of work that has been done on the chemicals
4 that is not necessarily being done by the ATSDR, and
5 it would be beneficial for those exposed as well as
6 for Congress, the VA and other agencies if we could
7 somehow take that body of work and put a
8 summarization from ATSDR, specifically the works
9 that are done on kidney cancer, congenital birth
10 defects, specifically conotruncal heart defects and
11 some of these other studies that have already been
12 concluded, where it wouldn't necessarily be
13 beneficial to conduct a new study but to include
14 that body of work in what ATSDR is putting together.

15 **DR. BREYSSE:** I think that's a reasonable
16 request.

17 **MR. BRUBAKER:** Tim, before break, you'd ask if
18 we could have some updates on VHA. If you feel like
19 talking about those now?

20 **MR. TEMPLETON:** Yes, yes. In fact one of the
21 things that I think I was getting to just right
22 before CAP broke there was there is a form that is
23 used to enroll for VA healthcare through the VHA,
24 for veterans. And you can either do it online or
25 you can use a separate form, and that determines

1 your eligibility. And if you're not eligible it'll
2 throw you into a priority group like an 8-G or
3 something like that, right. Well, Camp Lejeune
4 veterans are supposed to be put into priority group
5 6, not category 8-G. So I've had at least a couple
6 other Marines sent my way that said that they had
7 gone ahead and turned in their paperwork for this ^
8 benefits but that they -- and they don't have any of
9 the 15 conditions, but what had happened was they
10 were just told that, you know, that they weren't
11 eligible so they were put into priority group 8-G.
12 And I would like to ask why ^ box to be checked on
13 the front of it that says, were you stationed at
14 Camp Lejeune for at least 30 days between these
15 dates? It says on there, so I'd like -- if you
16 could take that back for me, I'd appreciate it.

17 **MR. FLOHR:** I will. I don't know, but I'll
18 take it back.

19 **MR. TEMPLETON:** Thank you.

20 **MR. SMITH:** And I just wanted to jump in too on
21 the civilian issue. Just wanted to get it on
22 record, I know we talked about getting a DOL -- DOD
23 rep from the VCA, from the claims side and what
24 they're doing. I just want to put in a formal
25 request that we have that. I knew that their

1 response was something on the order of having
2 prescreening questions before attending that sort of
3 thing, and I think we can go beyond that and just
4 have them here. It needs to be addressed. So I'd
5 like to get that on record.

6 And then looking forward to, you know, seeing
7 the Marine Corps here to answer those questions
8 about that EPA memo that Chris brought up last time
9 that we didn't get answers on yet, including their
10 outreach and what they're doing with their Camp
11 Lejeune historic drinking water website and some of
12 the information that's outdated there and some of
13 the brochures. I'd like to hear, hopefully before
14 we go another meeting, to hear back about that.

15 **MR. ENSMINGER:** The question for ATSDR is the
16 subject of the TCE tox FAQs, tox profile. Is that
17 going to be changed any time soon?

18 **DR. RAGIN:** The tox FAQs in the profile that
19 came out for TCE came out last month and it was
20 posted on the website.

21 **MR. ENSMINGER:** It's still listed as a probable
22 human carcinogen, and then down below, it says that
23 the EPA and IARC have classified it as a known
24 carcinogen, but why doesn't your website say it's a
25 known carcinogen? That's still a problem.

1 **DR. STEPHENS:** Yeah, I don't remember the -- I
2 don't remember the details but I think we updated --
3 have you looked at the website?

4 **MR. ENSMINGER:** The excuse I heard was now
5 you're waiting on the NTP.

6 (multiple speakers)

7 **MR. ENSMINGER:** Why wait on the NTP?

8 **DR. STEPHENS:** I don't know.

9 **MR. ENSMINGER:** Okay, thank you.

10 **DR. RAGIN:** I'll leave the question to Henry
11 Abbadin. He's over the tox group, and he's not
12 here today.

13 **MR. ENSMINGER:** Who?

14 **DR. RAGIN:** Henry Abbadin. And he's the chief
15 of the tox branch, and I'll give your concerns back
16 to him.

17 **DR. STEPHENS:** But we can say that it's -- that
18 we've classified the known carcinogens by the
19 following groups. That's a fact.

20 **MR. PARTAIN:** Chris just got it pulled up right
21 now.

22 **MR. ORRIS:** I'm looking at the tox FAQ right
23 now.

24 **DR. STEPHENS:** We can get specifics. I don't
25 remember what it says but I'll respond.

1 **MR. ORRIS:** It says you can get this study
2 that's posted.

3 **MR. ENSMINGER:** That's good.

4 **MR. ORRIS:** Actually it just says that
5 trichloroethylene has a strong evidence that it can
6 cause human cancer ^.

7 (multiple speakers)

8 **MR. ENSMINGER:** There is strong evidence that
9 trichloroethylene can?

10 **DR. STEPHENS:** So I need to figure out what we
11 can and can't say. But I don't see why we can't say
12 the groups who reviewed the evidence and state what
13 they found.

14 (multiple speakers)

15 **DR. STEPHENS:** Let me make sure I'm not
16 committing to something we can't do. But I agree
17 that we should -- that those two paragraphs are not
18 consistent.

19 **MR. ENSMINGER:** And I don't like that disarming
20 language up above, how can trichloroethylene affect
21 my health? And the first thing you read is, well,
22 trichloroethylene was once used as an anesthetic.
23 Well, you can keep that in there but move it down
24 somewhere below. In my opinion that is nothing more
25 than a disarming statement to start that paragraph

1 with. And I'm wondering how many laymen would go in
2 there and read that first phrase and say, well, they
3 used it in medicine so it must be all right, and
4 they quit reading right there.

5 **DR. BREYSSE:** And I think that's fair. We can
6 look at that. And as long as -- as long as we don't
7 have to pay you as a consultant for web design.

8 **MR. ENSMINGER:** I just remember how I was in
9 the learning curve, and, you know, the first thing
10 that struck my mind was, well, hey, they're saying
11 this stuff's all right. But, you know, if you don't
12 keep reading, you won't know the rest of it.

13 **MS. FRESHWATER:** And also you're dealing with
14 Marine culture, and Marines, the more not passive
15 language you can use when -- because if they find
16 something that says that they're being -- oh, well,
17 maybe I'm just being weak. I shouldn't, I shouldn't
18 explore this; I'm a Marine. So the more -- the less
19 passive language, the better.

20 **MR. ENSMINGER:** Yeah, you know how Marines --
21 you know, they --

22 **DR. BOVE:** Well, that first sentence actually
23 could go elsewhere. And I think there's a part in
24 the tox FAQs that say one of the uses of TCE; it
25 might be better to put it there. I think it's

1 trying to motivate the second sentence, which is the
2 exposure in moderate amounts cause headaches and so
3 on. But I think we can just say that without having
4 to say that TCE was once used as an anesthetic, and
5 that that can go further up. TCE was also used to
6 decaffeinate coffee. There's a lot of inappropriate
7 things.

8 **MR. PARTAIN:** Well, after surgery just put the
9 word until, they realized and people started dying.

10 **DR. BOVE:** Right. That's the thing that we
11 just --

12 **MR. ENSMINGER:** Take it out of there.

13 **DR. BOVE:** Right. Take it out.

14 **DR. RAGIN:** Could we update the web page to
15 denote the ^ status of TCE? Could we update the web
16 page just to denote the ^ status of TCE?

17 **DR. BREYSSE:** I mean, somebody tell me when
18 that NTP should be done. Does anybody know how
19 closely --

20 **DR. CANTOR:** It's in the works. It's been --
21 it's gone through a whole series of approvals. I
22 think the final work has been completed so I'm
23 really not sure. I think we're not too far away on
24 it.

25 (multiple speakers)

1 **MR. ENSMINGER:** Here's another point. In the
2 highlights, I mean, good lord, I mean, shouldn't one
3 of the highlights be that it's a carcinogen? At
4 least say, you know, causes dizziness, confusion,
5 nausea, unconsciousness. And even that --

6 **MR. PARTAIN:** It sounds like a Cialis ad.

7 **MS. FRESHWATER:** Or any other drug.

8 **MR. BRUBAKER:** So we're clear on what the recap
9 is for that, it's a review of that page?

10 **DR. RAGIN:** Yes.

11 **MR. BRUBAKER:** Are there other concerns or
12 issues to be raised at this time from the CAP?

13 **MR. ENSMINGER:** On that, well, I will say one
14 thing. It is this environment that we're currently
15 in is a welcome change. May it last.

16 **MR. TEMPLETON:** And just since we have one last
17 little bit here, I mean, there's obviously all of
18 us, I'm sure, feel a sense of urgency, and we want
19 to make sure that everyone else knows that there's a
20 sense of urgency. We talked about this for years
21 and years and years. And so as quickly as actions
22 can take place to help the community, the better.
23 That's what I have.

24 **MR. ORRIS:** I would like to reiterate the
25 absence of any DOD, DON. Again, we continue to

1 invite them. I wish that they would attend; it
2 would be a welcome change.

3 **MS. FRESHWATER:** I would sign something saying
4 that I won't be mean to them.

5 **MR. ENSMINGER:** I won't.

6 **MR. BRUBAKER:** If there are no further issues
7 to raise, Sascha Chaney has an update for some on
8 the work that was done in our pre-meeting yesterday.
9

10 **CAMP LEJEUNE CAP CHARTER REVIEW**

11 **MS. CHANEY:** All right, so thank you for
12 letting me give you the summary of yesterday. We
13 did have a meeting yesterday with the CAP and ATSDR.
14 And during that meeting we went over our current
15 charter language that -- or the, yeah, the current
16 CAP charter guidance that exists and was available.
17 And our discussion -- during our discussion we went
18 over the guidance very closely in five areas: the
19 purpose, membership, rules of conduct, operation of
20 the CAP and goals for 2015. And during that process
21 ATSDR collected input from the CAP for updates that
22 they thought were very necessary to include as well
23 as additions of new guidance to address the current
24 activities that we have going on.

25 And ATSDR has agreed to take -- update the

1 current guidance and provide it to the CAP in the
2 next two weeks for you, and we'll give that to the
3 CAP for two weeks to review it, and then we will fix
4 up any comments and edits that the CAP has, and then
5 we will finalize the guidance in March.

6 **MR. BRUBAKER:** Thanks. Any questions or
7 comments? As part of our wrap-up, we're going to
8 just briefly review the action items to make sure
9 that there's not only clarity what the deliverable
10 is but also who's going to provide it. So if you
11 wouldn't mind let's start over on that.

12
13 **SUMMARY OF ACTION ITEMS**

14 **MS. FELL:** So this is -- that I think it was
15 Lori that requested documentation from the Navy on
16 why they need to review for documents ^ --

17 **MS. FRESHWATER:** Or why -- what their reason
18 for rationale is for denying.

19 **MS. FELL:** Rationale.

20 **MR. BRUBAKER:** So the action is a request to
21 the Navy from ATSDR requesting it.

22 **MS. FELL:** In writing, their rationale.

23 **DR. BREYSSE:** Can I just move to -- how we
24 think maybe this might work, we'll try and clarify
25 it as best as we can. Now, we'll write it up and

1 we'll send it around, quickly to make sure every CAP
2 member reads. We'll try and do that pretty quick so
3 we don't have lag time in weeks.

4 **MS. FELL:** The second one was under the action
5 items for the Navy, which was, I believe we got the
6 report, the written report, about notifying at-risk
7 women in the vapor intrusion, and requested what
8 does timely manner mean. And the second request was
9 how exactly was the notification done and ^. That
10 would be a Navy.

11 For ATSDR, this is related to the, as it was
12 referred to, a relational database from the Navy of
13 their environmental data. Initially I believe the
14 CAP was going to provide language but Dr. Breyse
15 said we could look at -- our scientists could look
16 at what we would request.

17 **DR. BREYSSE:** We need to look at the database
18 and where we are and what we can get from the Navy
19 to make it done ^. But I think we need to have an
20 internal review ourselves first.

21 **MS. FELL:** Right. So this is an ATSDR item, to
22 define that.

23 So this is for ATSDR and also the CAP; this
24 was, I guess, raised in two different parts but the
25 web issues on the VHA research page. We had, I

1 guess, during the items -- list of action items be
2 permitted to look at that providing recommendations,
3 and Brad committed to sharing that with the VHA.
4 And then I think we go into further detail over here
5 in VA of some of the items that were identified, the
6 tox FAQs, and the outdated tox FAQs, and the
7 discussion of. Well, we'll just get to that but
8 anyways, ATSDR and CAP review and feedback on that
9 page of concern, and then Brad, with the VA, provide
10 that to them.

11 Same action items, VA's action items on, I
12 guess, sending a representative from VBA?

13 **MR. FLOHR:** VHA.

14 **MS. FELL:** VHA. So that the -- whatever the
15 three action items were they covered.

16 And then the question from Jerry for the VA,
17 will you accept ATSDR's work? That's a VA request.
18 I actually put this up later but this was the
19 response that was not fulfilled by the Navy on the
20 time --

21 **MR. BRUBAKER:** The serial number.

22 **MS. FELL:** Yeah, when the GCMS was started. So
23 I just added that back on. The Navy --

24 **DR. BREYSSE:** I mean, can we put Jerry on that
25 too 'cause he needs to give them the serial number.

1 **MS. FELL:** Okay.

2 **MR. ENSMINGER:** Wait, what?

3 **MR. PARTAIN:** Yeah, we got a document. Put the
4 model number but we haven't found the document with
5 the model and serial number.

6 **MR. ENSMINGER:** I don't think we had the serial
7 number of it. We have the model number.

8 **MR. PARTAIN:** Give them what you got.

9 **MR. ENSMINGER:** And then they'll come back and
10 say, oh, we can't find it.

11 **MS. FELL:** For the VA, confirm who the Camp
12 Lejeune committee that's in the -- some of the
13 denial letters, whether that's the --

14 **MR. PARTAIN:** NRC report.

15 **MS. FELL:** -- NRC report or something else,
16 confirm what that is.

17 **MR. FLOHR:** That's already been taken care of,
18 I thought.

19 **MS. FRESHWATER:** Okay, so can we change it?
20 Instead of confirming who it is, can we change it to
21 asking to restate it? Or, you know, and challenged
22 why is it called this committee, just misleading?
23 Why not call it the NRC report? I'm not just happy
24 saying because it's the NRC, that we're going to
25 leave it.

1 **MR. FLOHR:** It seems like that was the title of
2 the report.

3 **MS. FRESHWATER:** The committee?

4 **MR. FLOHR:** Yeah.

5 **MS. FRESHWATER:** But, but why, why is there --

6 **DR. BOVE:** The NRC report has a committee.

7 This is the name of the committee that put out the

8 report. They -- it's an ad hoc committee, but

9 that's what they call themselves. They should have

10 said in these -- instead of using that committee,

11 they should have just said the NRC report.

12 **MS. FRESHWATER:** Right.

13 **DR. BOVE:** That's what they should have said so
14 we all know what they mean. But that's what it is.

15 **MS. FRESHWATER:** Right.

16 **MR. TEMPLETON:** Right, but in the denial they
17 said that it was a committee. Now we're asking
18 again, hey --

19 **MR. FLOHR:** Again, that was the title of the
20 report. It was a committee that NRC put together.

21 **MS. FRESHWATER:** But you understand how, as a
22 veteran, reading that versus NRC report is two
23 different things. An NRC report says it's something
24 I can go see. I can go look at. I can research.
25 That committee sounds like something that you can't

1 ever be, oh, it's -- there's a committee. Do you
2 know what I'm --

3 **MR. FLOHR:** I know what you're saying.

4 **MS. FRESHWATER:** I mean, sometimes it's not --
5 sometimes it's common sense stuff, you know?

6 **MR. PARTAIN:** Well, either, whether it be the
7 NRC report or the committee, it's still ^.

8 **MS. FELL:** Cross out then? Is there anything
9 to replace that?

10 **MS. FRESHWATER:** I would look into changing --

11 **MR. PARTAIN:** VA and ATSDR --

12 **MS. FRESHWATER:** -- the --

13 **MR. PARTAIN:** -- work together.

14 **MS. FRESHWATER:** -- adding that that is the NRC
15 report into the denial letters so people can go look
16 at the report themselves.

17 **MR. PARTAIN:** -- with Congress to interpret the
18 meaning of the results of their studies.

19 **MR. ENSMINGER:** Well, there's a meeting --

20 **MR. PARTAIN:** I know.

21 **MR. BRUBAKER:** I think this is two issues. I
22 think we're -- what you're saying is right and what
23 you're saying is right and you're saying something
24 different. You'd like the letters to say -- not
25 refer to the committee as the rationale for denial

1 but saying we denied you because of the conclusions
2 of the NRC report.

3 **MS. FRESHWATER:** Right.

4 **MR. BRUBAKER:** Okay. And so they're asking for
5 the VA to make that change.

6 **MS. FELL:** Okay. So that would be VA.

7 **MS. FRESHWATER:** Because to the veteran reading
8 it, it's a big difference.

9 **MR. ENSMINGER:** I wish the VA would quit
10 referring to either one of them.

11 **MR. PARTAIN:** Well, Brad the --

12 **MR. FLOHR:** I said I would definitely take that
13 back.

14 **MS. FELL:** So do you want to capture the second
15 part?

16 **MR. PARTAIN:** I'm good.

17 **DR. BREYSSE:** So would that be a request for
18 the VA to stop referring to the NRC report.

19 **MS. FELL:** Yeah.

20 **MR. PARTAIN:** Right. Right. As the definitive
21 study for Camp Lejeune. The definitive review of
22 scientific ^. I would say as the authority for Camp
23 Lejeune claims.

24 **MS. FRESHWATER:** I think it's important to
25 remember, for some context, a lot of these veterans

1 have already had to deal with the VA. And I know
2 the VA's full of hardworking, good people, but there
3 have been a lot of hardships for Marines and other
4 service members to get care, and so some of them are
5 coming into this with a bad experience already, so
6 anything can seem fairly intimidating to them, you
7 know. So the more we make it seem like there's some
8 committee and the more we use this kind of stuff to
9 make them feel as though it's going to, you know,
10 cost them six years to try and get care...

11 **MS. FELL:** This gets back to the same one so
12 we'll combine it, but updating the research site and
13 mentions -- some of the things that were mentioned,
14 but will ATSDR do a review and members of the CAP ^
15 to Brad to take back. And then Lori asked for some
16 sort of response or confirmation online as to when
17 that might -- those updates might be made.

18 For the VA the request for statistical update
19 from Louisville on claims. ATSDR, and I might have
20 gotten this wrong, but this is, Chris, your summary
21 of related literature, and I think you specifically
22 mentioned kidney cancer and?

23 **MR. ORRIS:** Conotruncal heart defects.

24 **MS. FELL:** The VA, this item I did not catch
25 but, Tim, it was yours, talking about take back

1 issue related to ^ or?

2 **MR. TEMPLETON:** Right, the priority group for
3 applicants for Camp Lejeune ^.

4 **MS. FELL:** And then for ATSDR tox FAQs, to --
5 and it may be in public comment right now, the
6 new -- or the updated TCE tox FAQs, some of the
7 feedback that was provided on that, taking a look at
8 that.

9 And then Sascha, I didn't write down yours but
10 the -- providing the guidance in two weeks. I
11 wrapped that ^. And that's everything I have.

12 **DR. BREYSSE:** Excellent. And there's one thing
13 that came up yesterday that I'd like to get down
14 actually. There was a request that we look at
15 Mike's timeline and see if we can get it on our web
16 page somewhere?

17 **MR. PARTAIN:** Yes.

18 **DR. BREYSSE:** So if we can get a picture -- we
19 get a copy of that, then we'll -- I will see what
20 the issue might be but we'll certainly see -- we'll
21 get that up there.

22 **MS. FRESHWATER:** I've got an email to give you,
23 so I'll send you the timeline as well.

24 **MR. PARTAIN:** Let me send it 'cause I've got
25 the picture.

1 **MS. FRESHWATER:** Okay. You know, Tim went
2 through there and did some work on it too.

3 **MR. PARTAIN:** Send me what you've got again,
4 Tim?

5 **MR. TEMPLETON:** Okay, sure.

6 **MR. PARTAIN:** Versions. I've got all the
7 versions from the beginning.

8 **MR. ENSMINGER:** And then let us see them.

9 **MR. ORRIS:** I have one request. Can we ask the
10 VA to ^ presentation on the family member program ^.

11 **MR. FLOHR:** When?

12 **MR. ORRIS:** Maybe next CAP meeting or the one
13 following. Since you only have about 150
14 respondents so far, I think we can do a better job
15 of getting that out there for family members, so if
16 we can have somebody from the VA for that come down
17 next time.

18 **MR. ENSMINGER:** I got an email from a guy out
19 in Colorado that's heading up the reimbursements.
20 He wanted me to call him. I haven't called him back
21 yet but I'll call him this week.

22 **DR. RAGIN:** I just want to follow up on a point
23 that Frank made earlier about the ATSDR studies, and
24 want to know could the VA post links to ATSDR
25 published studies on their website?

1 **MS. FELL:** I think I -- I have -- oh no, I have
2 ATSDR study conclusion. I'll have to add that as
3 part of updating that page.

4
5 **WRAP-UP/ADJOURN**

6 **MR. BRUBAKER:** Excellent. So those are the
7 recaps. As we adjourn, we have a discussion about
8 when and where our next meeting will be, and Sheila,
9 I believe you're best to summarize our discussion
10 from yesterday.

11 **MS. STEVENS:** So yesterday we discussed that
12 our next off-site will be somewhere in North
13 Carolina, and what I want to do is a group of us are
14 going to get together and form kind of a small
15 committee. That will be myself, Frank and Gavin
16 Smith that's been doing some work on that. And
17 we'll start looking at some time frames.

18 So we also want to make sure that we get this
19 outreach to the right audience so that we have a
20 good attendance to this. So be expecting something
21 from me in the mail, email, sometime next week,
22 probably Tuesday or Wednesday, when we'll start
23 really pushing this one hard.

24 **MS. FRESHWATER:** Where in North Carolina; did
25 we decide?

1 **MS. STEVENS:** That's the other piece. That's
2 part of our discussion.

3 **MS. FRESHWATER:** Can I be on the committee?

4 **MS. STEVENS:** Yeah, sure. Yeah, the more the
5 merrier.

6 **MS. FRESHWATER:** Oh, I thought she was saying
7 there was only --

8 **MS. STEVENS:** Yeah, well, I mean, I just, you
9 know, identified some people last night just to
10 start moving this thing forward so we could go.

11 **MS. FRESHWATER:** Yeah, I'd like to be involved
12 in that, please.

13 **DR. BREYSSE:** There would be feedback from the
14 broader group.

15 **MS. STEVENS:** Sure, yeah. I mean, that's the
16 other part. I would make sure that everybody
17 would -- this would be transparent, like we
18 discussed yesterday. I'll make sure that even
19 the -- you know, this process will be transparent to
20 everybody on the CAP.

21 **MS. FRESHWATER:** 'Cause I really am going to
22 try and push for Jacksonville.

23 **MR. ENSMINGER:** No.

24 **MS. FRESHWATER:** I know, Jerry, but I'm still
25 going to push for it.

1 **DR. BOVE:** Well, actually I would like to ^ the
2 committee for other reasons. The fact that you have
3 these ^ I'm trying to -- we were talking, you know,
4 sort of ad hoc after the meeting about setting up a
5 small group of people. So if anyone from the CAP
6 wants to be on it that thinks they can help us with
7 the outreach, 'cause that's the key thing here, as
8 well as finding a place.

9 **MR. FLOHR:** As I recall we had really good
10 participation when we were in Wilmington year before
11 last.

12 **DR. BOVE:** Well, there were two events in
13 Wilmington. One was a symposium organized by the
14 media, the local media there, that was phenomenal.
15 And then there was our effort. And we need to do
16 the outreach that wasn't done the last time. So I
17 would want a small group who would be good on -- to
18 work on outreach as well as figuring out where.

19 **MS. FRESHWATER:** Right, and it -- I mean, it
20 comes back to, you know, the airport's an important
21 gathering point, so having access to equipment's
22 important. There's a lot going into it. But my
23 argument is we have so much new science now that the
24 symbolic value of being back, say, at the USO in
25 Jacksonville, I believe if we make the story

1 interesting enough, the media will come.

2 And I believe that changing the way the
3 military culture thinks -- and, and it's just like
4 with PTSD, there's been so many years of work to
5 make it so men will come forward with their PTSD and
6 say I need help -- where we need to kind of change
7 that culture so Marines -- 'cause I have Marines who
8 tell me I don't want to go get this lump in my
9 breast checked. You know, it was just my job to be
10 a Marine. So I just feel like going back to
11 Jacksonville and having active duty military
12 involved and knowing about it and their families and
13 all of the retirees, so I'll be quiet; I know
14 everybody wants to get out of here, but I just want
15 to be in on that 'cause I want to make my pitch.

16 **MR. PARTAIN:** I'll sort of jump in here. If
17 you want to engage the committee -- an engaging
18 turnout like there was for the symposium, then what
19 needs to be tied into this next CAP meeting is a
20 presentation on behalf of the leadership of ATSDR
21 summarizing the results of their studies: what they
22 mean, what this is, and be able to answer those
23 questions to the community.

24 **MS. FRESHWATER:** A press conference.

25 **MR. PARTAIN:** Yeah, the community has been

1 wanting those answers, and they have not gotten
2 them.

3 **DR. BREYSSE:** That's something -- let me ask
4 you a question. This symposium, what do we mean by
5 that, this symposium? Was that a -- was it a
6 gymnasium filled with --

7 **MR. ENSMINGER:** No, it was an auditorium.

8 **DR. BREYSSE:** What was the symposium?

9 **DR. BOVE:** Morris and I presented ^.

10 **MS. RUCKART:** It was 2007.

11 **DR. BOVE:** It was 2007 so we talk -- Morris
12 talked about what had been done at Tarawa Terrace,
13 'cause that's what was done. We were planning to do
14 -- and like I was talking about the studies we were
15 working on.

16 **DR. BREYSSE:** And these PowerPoint
17 presentations that were designed for a lay audience?

18 **DR. BOVE:** Yeah.

19 **DR. BREYSSE:** Can I propose something? We can
20 do both. We can have a -- we have a one-day
21 symposium beforehand, where we focus on presenting
22 the science to as broad line as possible. Then we
23 follow up the next day with a CAP meeting. 'Cause
24 if the symposium was successful, that's probably a
25 better way, to be honest, to get the information to

1 a broader audience than having -- sitting around in
2 this room or what. So is there possibility we'll do
3 both?

4 **MR. ORRIS:** I would second that.

5 **DR. BOVE:** There is but we had -- we didn't --
6 the problem is outreach, okay. The press
7 conference, that's fine, but if you don't do the
8 outreach, it's not going to work. I used to be an
9 organizer.

10 **MS. FRESHWATER:** I feel really confident with
11 this group in this room.

12 **DR. BOVE:** Right, but the problem was that we
13 didn't involve people in this room last time.

14 **MS. FRESHWATER:** Right.

15 **DR. BOVE:** This time -- that's why we want to
16 set up a team that includes the CAP -- some CAP
17 members ^ be on this team. If you think you can
18 contribute to, again, trying to build -- so we have
19 a large participation from the community. And of
20 course our office of communications would be
21 involved.

22 **MR. ENSMINGER:** Yeah, especially. I mean,
23 because, when they did the symposium, it was ^, and
24 they did -- they went to newspapers all over the
25 southeast and had them publicize it for them.

1 **DR. BREYSSE:** Yeah. We'll be creative. I've
2 done this sort of thing before, and what we did was
3 the week before, if there was a radio talk show, we
4 went on the radio talk show to talk about the issue
5 and part of doing that was, you know, saying, oh, by
6 the way, if you're interested in this ^ next week ^.
7 I like Frank's idea. We can be aggressive in ^ and
8 I -- if everybody likes the idea of attending a
9 symposium and the CAP meeting, separating the two.
10 The goal of the CAP meeting is to work with you
11 guys. The goal of the symposium is to inform the
12 broader community to get as much input as we can.

13 **MS. FRESHWATER:** So would you -- like I don't
14 know anything about symposiums, my question is would
15 there be an opportunity for you to answer questions,
16 press questions, at a symposium?

17 **DR. BREYSSE:** I think we have -- we can make
18 ourselves available to the press afterwards.

19 **MS. FRESHWATER:** Okay.

20 **DR. BREYSSE:** I think that would be kind of
21 different.

22 **MS. FRESHWATER:** Okay.

23 **DR. BREYSSE:** We'd be open to answering
24 questions to the public at this forum. You know,
25 and if the press is there, we'll have to stand

1 behind what we say, you know.

2 **MR. ENSMINGER:** And they -- also at this
3 symposium the Star News brought in a toxicologist
4 from North Carolina State, Dr. Gerald ^. He spoke
5 during the symposium; I spoke and Frank and Morris
6 did this -- you know.

7 **MR. PARTAIN:** Well, the critical thing is, that
8 has to be answered or addressed in the symposium is
9 what does this all mean? Because not everybody's
10 engaged in the community. You know, people have
11 heard about this, they've stayed on the fringes,
12 there's been a lot of contradictory information in
13 the media by the Marine Corps, by ATSDR. We need to
14 be able to answer for these families, what does this
15 mean?

16 **DR. BREYSSE:** So we will get our act together
17 and we will do a good job with that. We don't know
18 everything yet 'cause we still got stuff ongoing,
19 you know, so there's still some detail to be filled
20 in but we can be clearer and more consistent about
21 what we have done and what it means. And we can do
22 it in public; we can do it in private with our
23 stakeholders and partners.

24 **MS. FRESHWATER:** Could we have a VA
25 representative there to answer questions?

1 **MR. FLOHR:** Sure.

2 **MR. ORRIS:** Do you think they'd have a summary
3 presentation by that time as well?

4 **DR. BREYSSE:** We'll have something summarized.

5 **MR. ORRIS:** Okay.

6 **DR. BREYSSE:** Recognize that a summary, you
7 know, you want to be very careful about putting
8 together the strongest and best summary possible.
9 And I'm new here but we have a lot of staff, and I
10 don't want to sit here and say we'll have this
11 wonderful summary written as a valid document, you
12 know, wrapped up in a bow by the time we do this.
13 But we will be summarizing this stuff in a better
14 way than before, and we'll talk about the time
15 frame. We'll get back to you about when we think a
16 real formal summary will be taking place, and this
17 will be part of that process. Thank you very much.

18 **MS. FRESHWATER:** Thank you, so, so, so much,
19 really. That means a lot that you're open to
20 bringing something to the table like that. Thank
21 you.

22 **MS. STEVENS:** I got -- I've got one more thing
23 I want to bring up. So yesterday during our -- when
24 we were doing the charter discussion, we talked
25 about membership. And so one of the people that has

1 been in our audience several times is somebody that
2 I brought up in emails with everybody and we talked
3 about, and people all agreed, and after yesterday's
4 charter, we have agreed to bring Bernard Hodore on
5 board for our next CAP meeting. So following this
6 meeting -- if you'd just stand up -- so following
7 this meeting, he's going to go upstairs and get some
8 paperwork done and he will start sitting in on our
9 calls and be officially on board.

10 **MR. HODORE:** Thank you. Thank you very much.

11 **DR. BREYSSE:** And Bernard, could you just tell
12 us two sentences about yourself at the microphone?

13 **MR. HODORE:** All right, how you all doing? I'm
14 Bernard Hodore. I'm a disabled veteran. I've been
15 since 1986, and I was at Camp Lejeune, and I was
16 exposed to contaminated water. And I'm looking
17 forward to being on the CAP and getting views and
18 getting other veterans information about this Camp
19 Lejeune water contamination.

20 **DR. BREYSSE:** Can you spell your name?

21 **MR. HODORE:** My last name is spelled
22 H-o-d-o-r-e. Bernard. Thank you. Thank you; it is
23 an honor to be on the CAP.

24 **MR. BRUBAKER:** You're welcome. Excellent,
25 well, we've reached the end of our agenda. The

1 meeting's now officially adjourned.

2 **DR. BREYSSE:** I just want to say one thing.
3 Coming in this week, and I can tell you this,
4 getting ready for this CAP meeting and trying to get
5 my arm around Camp Lejeune has probably been the
6 vast majority what I've been doing since I've been
7 here. And I never thought, when we were planning
8 this meeting, I'd say this but I can honestly say
9 thanks a lot, because it's been fun. Thanks.

10
11 (Whereupon the meeting was adjourned at 2:04 p.m.)
12

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of January 15, 2015; and it is a true and accurate transcript of the proceedings captioned herein.

I further certify that I am neither relation nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 12th day of February, 2015.

STEVEN RAY GREEN, CCR, CVR-CM, PNSC**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**